

STATE OF CONNECTICUT  
OFFICE OF HEALTH STRATEGY

Docket No.: 20-32390-CON

Proposal: ESTABLISHMENT OF CARDIAC SERVICES  
PUBLIC/ADMINISTRATIVE HEARING

In Re: Norwalk Hospital Association Certificate of  
Need, Application to Establish Elective Percutaneous  
Coronary Intervention Services, "PCI," at Norwalk  
Hospital

DATE: April 22, 2021

TIME: 10:01 A.M.

PLACE: (Via teleconference)

Reporter: Robert G. Dixon, CVR-M #857  
A Plus Reporting Service  
55 Whiting Street, Suite 1A  
Plainville, CT 06062

**A P P E A R A N C E S:**

**For The Applicant:**

ROBINSON & COLE  
280 Trumbull Street  
Hartford, Connecticut 06103

By: THEODORE J. TUCCI, ESQ.

TTucci@rc.com

860.275.8210

**For The Intervenor:**

PARRETT, PORTO, PARESE & COLWELL, P.C.

One Hamden Center

2319 Whitney Avenue, Suite 1-D

Hamden, Connecticut 06518

By: PATRICK J. MONAHAN, II, ESQ.

PMonahan@pppclaw.com

203.281.2700

**OHA Staff:**

LESLIE GREER

BRIAN CARNEY

JESSICA RIVAL

(Begin: 10:01 a.m.)

THE HEARING OFFICER: Good morning, everyone. This public hearing before the Health Systems Planning Unit identified by the Docket Number 20-32390-CON is being held on April 22, 2021, regarding the Norwalk Hospital Association certificate of need application to establish elective percutaneous coronary intervention services, or PCI, at Norwalk Hospital.

On March 14, 2020, Governor Ned Lamont issued Executive Order 7B, which in relevant part suspended in-person open meeting requirements to ensure the continuity of operations while maintaining the necessary social distance. To avoid the spread the COVID-19 the Office of Health Strategy is holding this hearing remotely.

We ask that all members of the public mute the device that they are using to access the hearing, and silence any additional devices that are around them. This public hearing is being held pursuant to Connecticut General Statutes 19a-639a, and will be conducted in accordance with the provisions of Chapter 54 of the Connecticut General Statutes.

1           My name again is Michaela Mitchell. Victoria  
2 Veltri, the Executive Director of the Office of  
3 Health Strategy has designated me to preside as  
4 the Hearing Officer over these proceedings today.

5           In addition to myself, my colleagues Brian  
6 Carney and Jessica Rival are here to assist me in  
7 gathering facts related to this application. Also  
8 on the line is our consumer information  
9 representative Leslie Greer, who will assist in  
10 gathering names for public comment.

11           The certificate of need process is a  
12 regulatory process, and as such the highest level  
13 of respect will be accorded to all of the parties  
14 and members of the public, and our staff --

15  
16                           [Interruption.]  
17

18 **THE HEARING OFFICER:** Hold on one moment.

19           I want to make one announcement about muting  
20 yourselves. Please make sure that you're muted.

21           Our priority is the integrity and  
22 transparency of this process. Accordingly, we're  
23 going to request that decorum be maintained by all  
24 present during these proceedings.

25           The hearing is being recorded and will be

1 transcribed by BCT Reporting, LLC. All  
2 documents related to this hearing that have been  
3 or will submitted to the Office of Health Strategy  
4 and will be available for review through our CON  
5 portal, which is accessible on the Office of  
6 Health Strategy CON Webpage.

7 In making its decision, the Health Systems  
8 Planning Unit, or HSP will consider and make  
9 written findings concerning the principles and  
10 guidelines set forth in Section 19a-639 of the  
11 Connecticut General Statutes.

12 The Norwalk Hospital Association is a party  
13 in this proceeding; and Stamford Health,  
14 Incorporated, has been designated as an intervener  
15 with full rights in this proceeding.

16 At this time I'm going to ask Mr. Carney to  
17 read into the record those documents already  
18 appearing and HSP's table of record in the case.

19 MR. CARNEY: Good morning. Brian Carney for the Office  
20 of Health Strategy Health Systems Planning Unit.

21 At this time I'd would like to enter into the  
22 table of record Exhibits A through S.

23 THE HEARING OFFICER: All right. I just want to make a  
24 quick note that we did receive a few additional  
25 submissions which were Exhibit T. It was Attorney

1 Monahan's appearance. And then also we added  
2 Exhibit U a few moments ago, and that was the  
3 public comment.

4 I'm going to ask attorneys for the Applicant  
5 if there's any objection to the inclusion of these  
6 exhibits into the record?

7 MR. TUCCI: Good morning, Hearing Officer Mitchell.

8 This is Ted Tucci. And on behalf of the Applicant  
9 we have no objection to the supplemental exhibits.

10 THE HEARING OFFICER: Okay. I'm going to turn to the  
11 Intervenor's counsel for any objections?

12 MR. MONAHAN: Intervenor's counsel has no objection to  
13 the supplemental exhibits.

14 THE HEARING OFFICER: All right. Thank you, Attorney  
15 Monahan. All right. Thank you, Brian. I  
16 appreciate that.

17 So we are going to proceed in the order  
18 established in the agenda for today's hearing. As  
19 always, the Office of Health Strategy reserves the  
20 right to allow public officials and members of the  
21 public to testify outside of the order of the  
22 agenda as needed.

23 I'm going to advise the Applicants that we  
24 may ask questions related to your application that  
25 you might feel that you've already addressed, and

1 we do this for the purpose of ensuring that the  
2 public has knowledge about your proposal, and also  
3 for the purpose of clarification if we have  
4 questions about something that we read. I want to  
5 reassure you that we read your application  
6 complete in its responses and your prefiled  
7 testimony.

8 As this hearing is being held virtually we're  
9 going to ask that all participants to the extent  
10 possible and able to use the video cameras when  
11 testifying or commenting during the proceedings.  
12 Anyone who is not testifying or commenting will  
13 mute their electronic devices, including any  
14 telephones, televisions, and other devices not  
15 being used to access the hearing.

16 We're going to monitor participants during  
17 the hearing. To the extent possible we just ask  
18 that counsel for the parties, counsel for the  
19 Applicant and counsel for the Intervener raise  
20 hands to make an objection.

21 I'll address you. If I don't, it's okay to  
22 unmute yourself and address me directly.

23 All participants, again make sure that you  
24 mute your devices and disable your cameras. When  
25 we go off record or take a break we are not going

1 to stop the recording. The fear of stopping the  
2 recording creates, you know, concern that we may  
3 not turn it back on properly when people are  
4 testifying. So we're going to record everything.  
5 So just make sure that you mute your device or  
6 disable your camera when we go on break, off the  
7 record.

8 As we did before we started the hearing, I'm  
9 going to provide a warning to everyone that we're  
10 going to go back on the record so that everybody  
11 can get back in their places and turn their  
12 cameras on as appropriate.

13 Public comment is going to go again in the  
14 order established by OHS. I'll call each  
15 individual by name when it's his or her turn to  
16 speak. At this time I'm going to ask all of the  
17 individuals who are going to testify on behalf of  
18 the Applicant and the Intervener to raise their  
19 right hand so that I can swear you in.  
20  
21  
22  
23  
24  
25



1 J O H N M U R P H Y,  
2 A R S H A D Y E K T A,  
3 D A V I D L O M N I T Z,  
4 K A T H L E E N S I L A R D,  
5 R O H I T B H A L L A,  
6 J O N A T H A N B A I L E Y,  
7 S C O T T M A R T I N,  
8 M A R K W A R S H O F S K Y,

9 called as a witnesses, being first duly sworn by  
10 Hearing Officer, were examined and testified under  
11 oath as follows:

12  
13 THE HEARING OFFICER: Thank you.

14 MR. MONAHAN: Attorney Michaela Mitchell?

15 THE HEARING OFFICER: Yes.

16 MR. MONAHAN: I don't know -- am I too far away for you  
17 to see my hand if it -- it's raised given what you  
18 said?

19 THE HEARING OFFICER: No.

20 MR. MONAHAN: And I did have -- I didn't want to  
21 interrupt your instructions and prehearing  
22 statements, but I did have a question about  
23 administrative notice of docket numbers, if I may  
24 raise them?

25 THE HEARING OFFICER: Okay.

1 MR. MONAHAN: May I do that before the hearing and  
2 testimony begins?

3 THE HEARING OFFICER: Absolutely. Go ahead.

4 MR. MONAHAN: The Intervener respectfully requests that  
5 the Docket Numbers of CON which were two Norwalk  
6 Hospital decisions 12-31793-CON; the final  
7 decision of that docket number be admitted into  
8 the record for administrative notice as it is a  
9 public document on the precisely same issue  
10 involving the same applicant.

11 Similarly, the second one is the Norwalk  
12 Hospital application of 04-30286-CON for the same  
13 reasons, both of which have been referenced or  
14 alluded to, even though without the docket number  
15 in testimony of the Applicants and in the  
16 submissions in the -- before the prefiled  
17 testimony.

18 And then finally, because the objection to  
19 our request as a petitioner was grounded in part  
20 on a very specific reference to our reiterating  
21 arguments in a prior proceeding just last year and  
22 not too long ago, I believe it is appropriate that  
23 that reference be properly identified in the  
24 record as the Greenwich Yale New Haven application  
25 Docket Number 20-032342-CON.

1           And those are the three docket numbers that  
2           are on the public docket of this agency that I  
3           request administrative notice be taken.

4   THE HEARING OFFICER: All right. So Attorney Tucci, do  
5           you have any response to this request?

6   MR. TUCCI: Thank you, Hearing Officer Mitchell. This  
7           is Ted Tucci, one of the counsel for the  
8           Applicant.

9           And we have no objection to OHS taking  
10          administrative notice of prior dockets. I would  
11          just note for the record we want to make sure that  
12          with respect to the docket number concerning the  
13          Greenwich Hospital application, Docket Number  
14          20-32342-CON, that the Stamford Hospital appeared  
15          as an intervener in that proceeding.

16          So we would just want to make sure that all  
17          of the materials including late files and any  
18          other materials that were submitted by the  
19          Intervener in that process were part of the  
20          administrative notice of that record.

21   THE HEARING OFFICER: Any objection, Attorney Monahan?

22   MR. MONAHAN: Absolutely no objection.

23   THE HEARING OFFICER: All right. So we're going to go  
24          ahead and take administrative notice of those  
25          three dockets.

1           Anything else, Attorney Monahan?

2   MR. MONAHAN:  No, not at this time.  Thank you.

3   THE HEARING OFFICER:  You're welcome.

4           Anything else, Attorney Tucci?

5   MR. TUCCI:  No, thank you, Hearing Officer.

6   THE HEARING OFFICER:  Okay.  So the last thing I'm  
7       going to mention is just a reminder to everyone  
8       when giving your testimony make sure that you  
9       state your full name and adopt any written  
10      testimony that you have submitted on the record  
11      prior to testifying.

12           At this time I'm going to allow the  
13      Applicants to proceed with their testimony.

14           Before you begin one other thing is if you  
15      use any acronyms make sure you define what they  
16      are before you use them just for the benefit of  
17      the public, and also clarity of the record.

18           And I'll turn it over to you, Attorney Tucci.

19   MR. TUCCI:  Thank you, Hearing Officer Mitchell.  And  
20      good morning to you and good morning to members of  
21      the OHS staff.

22           My name is Ted Tucci, and along with Lisa  
23      Boyle and Connor Duffy, we represent the Applicant  
24      in the CON proceeding that brings us here this  
25      morning on behalf of Norwalk Hospital Association.

1           We're prepared now to present the direct  
2           testimony of the Applicant's witnesses. We're  
3           going to begin with the testimony of Dr. John  
4           Murphy, and then we'll proceed through our  
5           witnesses in order.

6   THE HEARING OFFICER: Thank you. I'm ready for you,  
7           Dr. Murphy.

8   THE WITNESS (Murphy): Good morning, Hearing Officer  
9           Mitchell. My name is John Murphy. I'm the  
10          President and CEO of the Nuvance Health. It's  
11          nice to see you again. I'm also a practicing  
12          physician and neurologist, and I hereby adopt my  
13          prefiled testimony.

14                There are a few points I'd like to make in  
15          the few minutes that I have. The first of which  
16          is elective PCI, or percutaneous coronary  
17          intervention. At Norwalk Hospital it's an  
18          important part of our vision for healthcare  
19          delivery within Nuvance Health. Our goal is and  
20          has always been to deliver high-quality care that  
21          is accessible, affordable, patient centered and  
22          delivered as close to home and family as possible.

23                We currently offer a broad range of  
24          cardiovascular services within Nuvance Health. It  
25          was actually the first Institute that we created,

1 as it represents the leading cause of death in  
2 America. Elective PCI at Norwalk Hospital in our  
3 view is a missing link in -- in our service  
4 delivery to patients in this community and our  
5 ability to provide them with life-saving care and  
6 to keep their hearts healthy.

7 The existing regulatory system prevents  
8 patients with cardiovascular disease to access  
9 this life-saving care at their local hospital,  
10 their hospital of choice, yet there's no  
11 corresponding advantage in terms of cost or  
12 quality, and we do believe that that regulatory  
13 system needs to understand and modify its position  
14 as a result.

15 We are firmly committed to play a role in  
16 controlling the escalating healthcare costs that  
17 confront the State -- and the nation, for that  
18 matter. Fee-for-service medicine is giving way to  
19 value-based care and we are willing to be held  
20 accountable for the quality and the cost of that  
21 care.

22 We want to be part of this solution. We  
23 salute the State for its position really in  
24 leading health systems and hospitals towards the  
25 adoption of alternative payment models, and your

1 office really has led the way.

2 As part of our agreed settlement, as a matter  
3 of fact, we committed to increase the number of  
4 patients receiving care under alternative payments  
5 models and risk-based contracts of one kind or  
6 another, and we have been diligent in our pursuit  
7 of that settlement and those times.

8 We already provide primary PCI, as you know,  
9 at Norwalk Hospital. We have the team, the  
10 facilities, the equipment and the experience. I  
11 think it's important to remember that in the  
12 decade that I was born medical knowledge was said  
13 to double every 50 years or so. In the decade I  
14 was in medical school in the eighties that  
15 changed, and medical knowledge doubled every seven  
16 years.

17 In the decade in which we live today it is  
18 said that medical knowledge doubles every 73 days.  
19 We believe that the regulatory framework needs to  
20 embrace that reality and evolve as such.

21 Here at Norwalk Hospital we are ready,  
22 willing and able to perform elective PCI. I thank  
23 you sincerely for your consideration of this  
24 application and I respectfully ask that your  
25 office approve it.

1 Thank you.

2 THE HEARING OFFICER: Thank you, Dr. Murphy.

3 MR. TUCCI: Thank you, Dr. Murphy.

4 This is Ted Tucci, counsel for Norwalk  
5 Hospital. And the next witness who will be  
6 presenting direct testimony is Dr. Mark  
7 Warshofsky.

8 THE WITNESS (Warshofsky): Thank you, Hearing Officer  
9 Mitchell and staff of the Office of Health  
10 Strategy for the opportunity to testify in support  
11 of Norwalk Hospital's application today.

12 My name is Dr. Mark Warshofsky. I am -- I'm  
13 the System Chair of the Nuvance Health Heart and  
14 Vascular Institute, and a practicing  
15 interventional cardiologist. I adopt my prefiled  
16 testimony for the record.

17 This morning I will tell you a little bit  
18 about Nuvance Health's approach to providing  
19 cardiovascular care for our patients and to  
20 provide some background for the reasons that we  
21 would like this application approved.

22 Nuvance Health approaches cardiovascular care  
23 in a systemwide approach. We do this in a number  
24 of ways. We have a systemwide collaboration with  
25 multidisciplinary experts within our system that



1 participate in clinical councils. We are  
2 participating in numerous national registries  
3 which help us to compare ourselves to national  
4 standards.

5 And Danbury Hospital has recently gone  
6 through a program assessment and affiliation with  
7 the Cleveland Clinic. Norwalk Hospital is  
8 currently undergoing an assessment of our  
9 cardiovascular program by the Cleveland Clinic  
10 Heart and Vascular Institute, and we anticipate a  
11 formal affiliation later this year.

12 That affiliation focuses on quality and best  
13 practice, and it -- we've already started to push  
14 out a lot of the care pathways and guidelines that  
15 we have developed with the Cleveland Clinic.

16 The safety of performing PCI without cardiac  
17 surgical backup is not in question. That has been  
18 proven by multiple randomized studies that are  
19 easily viewed in the -- in the literature, and  
20 that's largely due to improved interventional  
21 techniques such as coronary stents, coronary  
22 covered stents, new technologies, techniques and  
23 new medications to make PCI much, much safer for  
24 percutaneous intervention; much, much safer than  
25 it was several years ago.

1           The -- the current estimates of the need for  
2           coronary artery bypass surgery in the setting of a  
3           PCI are about two patients in a thousand, all the  
4           way down to a few patients in 10,000. And I think  
5           it's important to restate the Norwalk Hospital is  
6           already performing PCI on STEMI patients.

7           This is really the sickest cohorts of  
8           patients. They present suddenly to the emergency  
9           room. They're in need of emergent care, and that  
10          life-saving care is provided by our physicians at  
11          Norwalk Hospital.

12          I also think it's important to note that  
13          while we're calling this an elective PCI  
14          application, many of our patients who fall into  
15          that category are not truly elective. They're  
16          patients who have been admitted to the hospital  
17          who are in need of urgent procedures to prevent  
18          heart attacks or to minimize heart attacks, and  
19          that life-saving care really should be available  
20          as well at Norwalk Hospital.

21          We have sufficient current volume to support  
22          this program. We are currently performing PCI on  
23          approximately, for the FY '21 year, projected to  
24          be about 80 patients with STEMI presenting to  
25          Norwalk Hospital.

1           And if you look at programs around the state  
2           and nationally, programs that are doing PCI for  
3           patients presenting with STEMI, their ratio of  
4           elective PCI to STEMI patients is over four to  
5           one. And I think that using those 80 STEMI  
6           patients as a surrogate for what the volume could  
7           be and probably should be at Norwalk Hospital, we  
8           would be well over the 200 cases that the  
9           literature suggests that we should have if we are  
10          to perform PCI without surgical backup.

11          I think it's also important to note that, you  
12          know, geographic distance doesn't necessarily  
13          equate to geographic isolation, or is a sufficient  
14          measure for geographic isolation. We all know we  
15          have bad weather that comes up. We have storms.  
16          We have terrible traffic with accidents. The  
17          inability of family to -- to be with their loved  
18          ones during a stressful experience -- and even  
19          pandemics, unfortunately, really I think should  
20          make us question the wisdom of transferring  
21          patients to another hospital without necessity.

22          I think also the use of valuable EMS  
23          resources to perform those transfers when they  
24          could be doing other necessary activities is  
25          something that we really should think about.

1       There is a redundant -- the redundancy involved in  
2       terms of work being performed on the part of the  
3       receiving hospital, and that redundancy is not  
4       just extra work, but it also introduces the  
5       chances for medical errors and patient harm.

6           I think that -- certainly I have no doubt  
7       that if this application is approved Norwalk  
8       Hospital will operate a high-quality elective PCI  
9       program that's going to serve the patients of  
10      Norwalk Hospital and the surrounding communities  
11      in a way that will allow for actually improved  
12      care for the patients of the community.

13           Thank you.

14   THE HEARING OFFICER:   Thank you, Dr. Warshofsky.

15   MR. TUCCI:   This is Ted Tucci, counsel for Norwalk  
16                Hospital.   And the next witness who will be  
17                speaking in support of the application Dr. Arshad  
18                Yekta.

19   THE WITNESS (Yekta):   Good morning.   And thank you,  
20                Hearing Officer Mitchell and staff of the Office  
21                of Health Strategy for the opportunity to testify  
22                in support of the Norwalk Hospital application  
23                today.

24           My name is Dr. Arshad Yekta, and I'm an  
25           interventional cardiologist, and I'm also the

1 Director of the Cardiac Catheterization Laboratory  
2 here at Norwalk Hospital. I hereby adopt my  
3 prefiled testimony for the record.

4 In regards to our history here at Norwalk  
5 Hospital, we have been offering primary  
6 angioplasty coverage for approximately eleven  
7 years when we started our program back in July of  
8 2009. Since then we've offered 24/7 coverage in  
9 our cardiac catheterization laboratory for the  
10 sickest of patients that come into the hospital  
11 who are on death's door.

12 We have a very well staffed and well-stocked  
13 cardiac catheterization laboratory here. We offer  
14 equipment that may not be available at even many  
15 other advanced institutions. We are able to  
16 perform percutaneous intervention. We have the  
17 latest in technology in terms of stents. We also  
18 perform coronary imaging to ensure that we provide  
19 high quality care.

20 We have a new cardiac catheterization  
21 laboratory which we are building out, and will be  
22 completed in May and be starting to be used at the  
23 end of May. Additionally, we offer support  
24 devices like intra-aortic balloon pumps and  
25 Impella devices, which as well are very -- are at

1 the forefront of cardiology care today.

2 In addition we have an on-call cardiologist  
3 who's on call 24/7. We also have thoracic  
4 surgeons, and vascular surgeons are also on call  
5 24/7 to offer any support which would, if at all,  
6 would be necessary can also help in the function  
7 of the cardiac catheterization laboratory.

8 At this time we function as a cardiac  
9 catheterization director, and the one thing that  
10 we have is we have a core group of dedicated  
11 physicians. We have a core group of dedicated  
12 staff who have been here, and who've really shown  
13 dedication to our -- our STEMI program and to our  
14 diagnostic angiography program as well.

15 We have a very robust education system.  
16 We -- as in many advanced tertiary care centers,  
17 they offer education and teaching. We do the  
18 same. We offer cath conferences monthly. We have  
19 STEMI meetings -- or I'm sorry, meetings in  
20 regards to all our cases. I review every single  
21 coronary intervention which we perform at the  
22 hospital -- and to make sure that we offer the  
23 highest quality of care for all of our patients.

24 In addition to that, we -- we train our  
25 staffs on a regular basis weekly to make sure that

1 they understand anything that is going on at the  
2 forefront of cardiology, to make sure we are well  
3 suited to deliver any types of care that's needed  
4 to all of our patients.

5 In terms of why I feel like, you know, at a  
6 hospital of our size, you know, we -- we all know  
7 that there -- there have been -- there, as volume  
8 does increase we have shown that there are also  
9 improved measures of outcome. And as  
10 Dr. Warshofsky mentioned, we have a very --  
11 actually an intermediate volume of patients  
12 presenting with acute myocardial infarction.

13 If you extrapolate that out to patients who  
14 would be presenting with non-ST elevation,  
15 myocardial infarction or elective PCI, I feel like  
16 our volume would be the middle ground.

17 The one benefit that we have here is that we  
18 would have cardiac catheterization laboratories  
19 available. And with that being said, we would be  
20 not be a very high-volume center, but we'd fall in  
21 that middle-of-the-road center, intermediate  
22 volume. And I feel like that's kind of the ideal  
23 ground where we're able to provide high quality of  
24 care, personalized -- personalized care to these  
25 patients and offer a lower incidence of

1 complication for these patients.

2 In terms of why we also have to understand  
3 that acute coronary syndrome is not a binary  
4 diagnosis; a continuum of diagnosis. You have  
5 patients who present with, you know, stable  
6 angina, with unstable angina, myocardial  
7 infarctions and acute ST elevation myocardial  
8 infarctions. But you know we understand that this  
9 is not a binary, or there's not distinct cutoffs  
10 in between these diagnoses. So currently we're  
11 only able to provide care for patients that  
12 present with the acute ST elevation myocardial  
13 infarction.

14 And I strongly believe that if we think in  
15 this manner we actually cause harm to many  
16 patients which present with other diagnoses.

17 For example, it's been adopted by many the of  
18 guidelines including -- including the American  
19 College of Cardiology, the European Society of  
20 Cardiology; that early invasive strategy should be  
21 employed in patients who present with acute  
22 myocardial infarction, in particular if they have  
23 elevated risk, and they should undergo angiography  
24 within 12 to 24 hours.

25 In addition, patients who present with high



1 risk acute myocardial infarction who are not STEMI  
2 may need to have angiography done within two  
3 hours.

4 Unfortunately, these metrics are very hard to  
5 accomplish if we don't have the capacity to  
6 perform these procedures here at Norwalk Hospital.  
7 As you know, we're in a very congested area and  
8 the ability for us to transfer patients in a  
9 timely manner is hindered by many obstacles  
10 including traffic, weather, EMS services, and also  
11 the coordination it takes to actually transfer a  
12 patient can also -- also be very time consuming.

13 In addition to the -- the fact that transfers  
14 can take some time, they also pose many  
15 hinderances. There's an issue in terms of medical  
16 records. Medical records oftentimes between  
17 institutions are not shared. So oftentimes these  
18 records are printed. Imaging is likely  
19 unavailable. In addition, there is a change of  
20 providers. Not only are the cardiologists  
21 different, but in addition the nursing staff is  
22 different, the hospitals are different, the health  
23 staff may be different.

24 And this really -- what -- what this -- what  
25 this does is it causes an area for errors in -- in

1 medical records, medical -- medical administration  
2 errors and increased risk of infection. So we  
3 feel like transfers should be avoided if possible.

4 In addition, followup for these patients  
5 becomes disjointed. Now all the sudden you've  
6 given them two cardiologists, two hospitalists.  
7 So they become a little bit confused as to how  
8 followup will also be employed.

9 Lastly, the whole area -- era of COVID-19 has  
10 really shown us that transfers can become  
11 difficult in addition because of multiple things.  
12 First of all, during COVID we did realize -- we  
13 did see according to many studies that have been  
14 published that elective cases had to be held.  
15 Even semi-urgent cases were being delayed.

16 In addition to that, the availability of cath  
17 labs and cath lab staffs became limited. So even  
18 if the transfer was available -- a transfer was  
19 necessary, it may not be available to the patient.

20 So in conclusion, I strongly believe that if  
21 elective PCI were to be able to be performed at  
22 Norwalk Hospital I think it will improve quality  
23 of care, decrease length of stay for the patients.  
24 It will decrease the cost for these patients,  
25 but most importantly, it will increase patient

1 satisfaction, and we will do this without  
2 increasing the risk of cardiac events.

3 And the other issue is -- is that I feel like  
4 in the area we are, we'll be able to deliver care  
5 to patients who may not be able to achieve it  
6 otherwise. Thank you.

7 THE HEARING OFFICER: Thank you, Dr. Yekta.

8 MR. TUCCI: Hearing Officer Mitchell, this is Ted  
9 Tucci, counsel for the Applicant. And the final  
10 witness who will be presenting testimony on behalf  
11 of the Norwalk Hospital Association is Dr. David  
12 Lomnitz.

13 THE WITNESS (Lomnitz): Thank you, Hearing Officer  
14 Mitchell and staff of the Office of Health  
15 Strategy for the opportunity to testify in support  
16 of Norwalk Hospital's application today.

17 My name is Dr. David Lomnitz. I am Chief of  
18 the Section of Cardiology at Norwalk Hospital, and  
19 a practicing cardiologist. I adopt my prefiled  
20 testimony for the record.

21 I'd like to use my time at this hearing today  
22 to highlight two important issues that are in my  
23 prefiled testimony. The first issue of great  
24 concern is the underutilization of the appropriate  
25 use of PCI. We know that this is a significant

1 problem. We know it exists throughout medicine,  
2 that things that have been proven to be beneficial  
3 aren't always done.

4 Data from the New England Journal of Medicine  
5 shows that up to 30 percent of people who are  
6 clearly appropriate for PCI do not get PCI. We  
7 also know that the outcome for those patients is  
8 worse than those that receive PCI. In summary for  
9 that -- is that patients do worse. They have  
10 higher mortality and higher morbidity.

11 What is also known and also very concerning  
12 is that patients who are at highest risk for  
13 underutilization of appropriate use of PCI are  
14 racial minorities. This is an issue that plagues  
15 us in medicine, not just in cardiology, but in  
16 other areas as well, and is certainly highlighted  
17 by the COVID-19 crisis.

18 So why does this happen? We don't really  
19 know for sure, but we do know when it comes to PCI  
20 there is a clear association with the  
21 underutilization of PCI when appropriate with  
22 patients coming to hospitals that do not have the  
23 elective PCI capability, and don't have full  
24 invasive cardiac service available.

25 We know this to be true, not only in the

1 United States which has been repeated in multiple  
2 studies, but is known internationally to be the  
3 case. Patients who don't go to hospitals with  
4 full capacities tend to be the ones at risk. So  
5 what does this mean? Can this be addressed?

6 Interestingly, there was a study in New York  
7 City that was able to show the same finding, that  
8 these patients going to the hospitals without  
9 these services available were not receiving the  
10 care at a much higher rate.

11 If proximity to a hospital that has those  
12 capacities for invasive interventions were the  
13 solution, certainly New York City with its high  
14 density of hospitals that -- with and without  
15 would certainly be the first and most capable of  
16 tackling this issue, yet they aren't.

17 The authors of that study which is in my  
18 prefiled testimony and is published in the Annals  
19 of Internal Medicine, the authors suggest that the  
20 factors are much more complex. I think we have to  
21 be humble as physicians to recognize what we know  
22 and what we don't know, and these authors suggest  
23 that there may be factors social, economic,  
24 language barriers and other factors that play an  
25 important role.

1           So what is the solution? What can we do to  
2           minimize this impact? I think that from the data  
3           it's clear that if we can increase access to  
4           high-quality care, that patients will be less  
5           likely to be underserved and underutilized in  
6           these appropriate procedures. I think Norwalk  
7           Hospital is in an ideal position to do it.

8           I don't want to repeat Dr. Warshofsky and  
9           Dr. Yekta's testimony. I think they did it very  
10          well, that the hospital and the network is highly  
11          committed to providing a high-quality program and  
12          to follow the highest standards.

13          I think certainly the high rates of primary  
14          angioplasty speaks to a very high burden of  
15          disease in our area, and certainly raises the  
16          question of underutilization in our community.

17          I'm also very proud of Norwalk Hospital, a  
18          place that I've worked for the last 20 years, is  
19          extremely committed to the best care for all of  
20          its patients in its community, and all patients  
21          who arrive here, but specifically very committed  
22          to providing care to underserved communities,  
23          particularly racial minorities and the uninsured.

24          We have a very tight association and work  
25          closely with Americares, which is a clinic that

1 provides care for the uninsured, Norwalk Community  
2 Health Clinic that also provides health care for  
3 uninsured; and in our estimates which are in the  
4 OHS table six, projections that at least  
5 20 percent of those receiving elective PCI will be  
6 patients who are either on Medicaid or uninsured.

7 I think that the commitment of Norwalk  
8 Hospital will certainly help, not only Norwalk  
9 Hospital and Nuvance's commitment to try and  
10 improve care, reduce the issues of racial  
11 disparity -- but I think it's a commitment that  
12 all physicians in the United States are acutely  
13 aware of and trying to make a positive impact.

14 There's another issue that I want to  
15 highlight from my prefiled testimony. That is  
16 what, you know, we deem sort of the fractioning of  
17 care, or dual pathways. I've been practicing at  
18 Norwalk Hospital for the last 20 years. I think  
19 Dr. Warshofsky spoke very well with regard to the  
20 problems that occur acutely when you transfer a  
21 patient from one health system to another, and so  
22 are the pitfalls that -- that can occur.

23 I want to talk about some of the things that  
24 can occur that aren't necessarily clearly obvious  
25 initially, but over time become clear, or

1       unintended consequences of these actions. What we  
2       see is that patients are not uncommonly -- who  
3       live in our area are seeking cardiac care in other  
4       health systems. This could be because when they  
5       arrive at Norwalk Hospital they spend a brief  
6       amount of time here, then were transferred out.  
7       They ended up staying with physicians at those  
8       health systems.

9               Now you've created a dual pathway where that  
10       patient is now having health care delivered in  
11       more than one setting where the communication,  
12       either by EHR or by other methods is not ideal by  
13       any standards.

14              Oftentimes those patients will arrive at  
15       Norwalk Hospital, and we -- while we try our best  
16       and do our due diligence to try to get those  
17       records, this is often a challenge even during  
18       work hours, but certainly on off hours.

19              I think those patients have higher rates of  
20       having tests repeated unnecessarily because of  
21       this issue. They're more likely to be admitted to  
22       the hospital because for -- for being  
23       conservative. They want to ensure that nothing  
24       falls through the cracks, when if all that  
25       information were available that might have been an



unnecessary mission to the hospital.

The other issue I think is that patients that do follow with us -- and there are many -- are confused, and I think this is understandable. If a patient came to Norwalk Hospital and all of the sudden was put in an ambulance and sent to another hospital for their cardiac care, they come to us -- and they come to me in particular, and they'll say, if I have a problem where should I go? Should I go to Norwalk, or should I go somewhere else directly? Should I bypass that step?

This is very worrisome for us. We know that cardiac conditions can be something that can deteriorate within seconds to minutes. We want those patients to seek care locally. If not, important time can be wasted and bad outcomes can follow.

Patients understandably may not follow that, and they -- and they are confused and they're -- and they may end up at hospitals and the delay may cost them, not only mortality, but morbidity.

In addition, we all know that not every -- every chest pain patient will have a cardiac condition. They may end up at other hospitals

1 not -- without their primary care doctors, without  
2 the flow of information for the -- for conditions  
3 that may be noncardiac such as a gallbladder  
4 problem or pneumonia, et cetera.

5 I think this, this displacement is exactly  
6 what we don't want to happen due to the  
7 inefficiencies, the lack of communication and  
8 ultimately poor, poor care that's more costly.

9 Thank you for your time.

10 THE HEARING OFFICER: Thank you, Dr. Lomnitz.

11 Attorney Tucci, does that conclude your  
12 presentation on behalf of the Applicant? Or is  
13 there anything that you wanted to add?

14 MR. TUCCI: Good morning, Hearing Officer Mitchell.

15 Ted Tucci.

16 That concludes the presentation of the direct  
17 testimony on behalf of Norwalk Hospital. I did  
18 want to alert you, Hearing Officer Mitchell, that  
19 at some point in the proceedings we've been  
20 informed that State Representative Perone may be  
21 available for public comment.

22 Our best information is that currently the  
23 State Representative is engaged in a legislative  
24 meeting, but if and when Representative Perone  
25 becomes available we will just notify you of that

1 fact. If that's acceptable?

2 THE HEARING OFFICER: Absolutely. Thank you.

3 All right. I'm going to turn it over to you  
4 Attorney Monahan.

5 MR. MONAHAN: Thank you, Hearing Officer Mitchell. The  
6 Intervener would like to present witnesses, and  
7 the first witness is Kathleen Silard, President  
8 and CEO of Stamford Health, Inc.

9 THE WITNESS (Silard): Good morning, Hearing Officer  
10 Mitchell and members of the Health System Planning  
11 Unit and Office of Health care Strategy staff. My  
12 name is Kathleen Silard. I'm the President and  
13 CEO here at Stamford Health, and I hereby adopt my  
14 prefiled testimony.

15 As you know, Stamford Health is an  
16 independent not-for-profit healthcare system and  
17 I'm very proud of the 3600 employees who devote  
18 their work to the commitment of patient-centered  
19 care and have enabled us to become a best in class  
20 provider of health services to our entire  
21 community regardless of their ability to pay.

22 At Stamford Health we really live our  
23 commitment to addressing healthcare disparities  
24 and provide a community benefit through  
25 participation in and financial support for

1 community-based initiatives and collaborations.

2 In fact, even though we're only the  
3 fifth-largest healthcare organization in the  
4 state, we're the second largest provider of  
5 uncompensated care to the most vulnerable in our  
6 community.

7 While I have a great deal of respect for my  
8 professional colleagues at Norwalk Hospital and  
9 Nuvance Health, Stamford Health strongly opposes  
10 the systems application as it simply fails to meet  
11 the guidelines and principles that have been  
12 established by our General Assembly in our  
13 certificate of need law.

14 Moreover, upon reading the prefiled testimony  
15 submitted by the Applicant -- Applicant, I  
16 realized that I was effectively reading a request  
17 by Nuvance Health System that this agency remove,  
18 as Dr. Murphy stated in his prefiled testimony,  
19 the regulatory barrier imposed by the CON law.

20 I feel compelled to remind everyone that  
21 Connecticut is a CON state until the General  
22 Assembly decides that it is not, and the  
23 legislative policy of demonstrating an unmet need  
24 is and has been a core principle of the CON law  
25 from its very inception.

1           In addition to unmet need the CON law seeks  
2           to avoid duplication of services and unnecessary  
3           increases in healthcare costs while at the same  
4           time supporting the promulgation of high-quality  
5           care.

6           I respectfully urge that OHS see this  
7           application for what it plainly is, a request by  
8           the petitioner to have OHS aid in its expansion of  
9           a system, as opposed to an application that must  
10          comport with controlling CON law in order to be  
11          granted.

12          If this agency abides by the principles that  
13          are set forth in statute it should be clear that  
14          there is no demonstration of unmet need. There is  
15          no shortage of access to elective PCI programs in  
16          this geographic region and the region at issue.  
17          And there is no valid reason under CON law to  
18          grant permission for duplicative services which  
19          will only aid in the dilution of quality and the  
20          increase of costs associated with elective PCI  
21          programs in our region.

22          Thank you, and I'm happy to answer any of  
23          your questions.

24   THE HEARING OFFICER: Thank you, Ms. Silard.

25   MR. MONAHAN: If I may? Hearing Officer Mitchell, we

1 do have a second witness we have prepared. And  
2 that is Dr. Rohit Bhalla.

3 Okay. And Dr. Bhalla, will you adopt your  
4 prefiled testimony, and then proceed? Thank you.

5 THE WITNESS (Bhalla): Good morning, Hearing Officer  
6 Mitchell and the members of the Health System  
7 Planning Unit staff. My name is Rohit Bhalla, and  
8 I'm Senior Vice President of Clinical Affairs and  
9 Quality at Stamford Health. I hereby adopt my  
10 prefiled testimony for the record.

11 I am testifying today on behalf of Stamford  
12 Health in strong opposition to the application  
13 submitted by Norwalk Hospital Association, this  
14 authorization to establish elective percutaneous  
15 coronary intervention service for the hospital.

16 My comments focus on the crucial role of  
17 evidence-based guidelines in improving the quality  
18 and safety of healthcare. The standard of using  
19 reviews of research and scientific evidence to  
20 identify which practices lead to optimal patient  
21 outcomes while reducing excess utilization dates  
22 to 1970, when the Institute of Medicine now known  
23 as the National Academy of Medicine founded.

24 Best practices are reviewed by experts in  
25 professional medical societies who incorporate

1       these findings into clinical practice guidelines.  
2       We know from a litany of quality improvement  
3       efforts that adherence to clinical practice  
4       guidelines improves health outcomes, reduces  
5       patient harm and reins in inappropriate healthcare  
6       utilization.

7               The 2014 guidelines and annual volume  
8       standards on PCI pertinent to today's hearings  
9       represent the consensus of not one, not two, but  
10      three professional societies; the Society of  
11      Cardiovascular Angiography Intervention, the  
12      American College of Cardiology and the American  
13      Heart Association.

14             Increasingly policymakers, regulatory  
15      agencies and payers are calling for tight  
16      adherence guidelines to maintain compliance and to  
17      receive payment for services. The Centers for  
18      Medicare and Medicaid Services, or CMS  
19      incorporates clinical practice guidelines  
20      recommendations in its provider conditions of  
21      participation and coverage.

22             For example, 42 CFR 42.8 CMS establishes  
23      evidence-based volume standards for organ  
24      transplantation services. It requires hospitals  
25      to perform an average annual minimum of ten

1 transplants as a condition of Medicare  
2 participation.

3 In its national coverage decision on  
4 transcatheter aortic valve replacement, CMS  
5 established the requirement that existing centers  
6 for transcatheter aortic valve replacement  
7 programs maintain an average annual volume of 300  
8 PCI cases and 20 TAVR procedures.

9 The federal government also utilizes clinical  
10 practice guideline recommendations and  
11 evidence-based facility volume standards in its  
12 decisions on what services it will cover. For  
13 instance, the Affordable Care Act mandates  
14 coverage with no cost sharing for evidence-based  
15 preventive screenings, such as screening  
16 mammography and screening colonoscopy -- because  
17 these have demonstrated a connection between early  
18 detection and better patient outcomes.

19 Professional and certifying organizations  
20 such as the American Board of Internal Medicine  
21 Foundation initiated the Choosing Wisely campaign.  
22 This program promotes adherence to best practices  
23 to reduce inappropriate utilization of procedures  
24 and tests with limited patient benefit.

25 More than 80 specialty provider organizations



1 including the Society for Cardiovascular  
2 Angiography Interventions and the American College  
3 of Cardiology --

4 THE REPORTER: I'm just having a little difficulty  
5 hearing you. This is the stenographer. If you  
6 could speak up please? I'm just hearing a little  
7 background noise. Apologies for the interruption.

8 THE WITNESS (Bhalla): No problem.

9 THE HEARING OFFICER: That's okay. I think it's the  
10 papers. It might be on -- I don't know if you  
11 have a microphone, but I do hear the papers  
12 moving.

13 THE WITNESS (Bhalla): Okay. I'm not shuffling  
14 anything, but perhaps this -- I -- I will --  
15 repeat what I just said, and please let me know if  
16 you want me to go through prior comments.

17 Professional and certifying organizations  
18 such as the American Board of Internal Medicine  
19 Foundation initiated the Choosing Wisely campaign.  
20 This program promotes adherence to best practices  
21 to reduce inappropriate utilization of procedures  
22 and tests with limited patient benefit.

23 More than 80 specialty provider organizations  
24 including the Society for Cardiovascular  
25 Angiography Interventions and the American College

1 of Cardiology actively participated in this  
2 campaign.

3 I lay out the above discussion to illustrate  
4 the rich history and value of evidence-based  
5 medicine is properly accepted as the gold standard  
6 in healthcare as it improves patient care, reduces  
7 harm and lowers healthcare costs by discouraging  
8 unnecessary service.

9 Guidelines are derived from exhaustive  
10 research reviews -- not only the latest study, and  
11 from the contribution of experts in their fields  
12 who devote countless hours and resources to the  
13 betterment of giving care. Stamford Health  
14 supports the use of clinical practice guidelines  
15 and urges OHS to continue to be guided by science,  
16 and not by the business desires of health systems.  
17 Our patients deserve no less.

18 Thank you.

19 THE HEARING OFFICER: Thank you, Dr. Bhalla.

20 MR. MONAHAN: Hearing Officer Mitchell, I'd like to  
21 introduce Dr. Scott Martin. If we may proceed  
22 with our next witness?

23 THE WITNESS (Martin): Hi, Officer Mitchell. Thank you  
24 for allowing me to speak. I'm Dr. Scott Martin.  
25 I'm an interventional cardiologist and the

1 Director of Intervention Cardiology here at  
2 Stamford Health.

3 I accept my testimony into the record?

4 MR. MONAHAN: Yes, you adopt --

5 THE WITNESS (Martin): I adopt my written testimony.

6 MR. MONAHAN: Dr. Bhalla spoke about the importance of  
7 clinical guidelines in all medicine, and we're  
8 fortunate that on this topic at hand we have a  
9 number of guidelines to look at, the most  
10 pertinent being the 2014 multi-societal  
11 guidelines.

12 There were a number of others, you know,  
13 2013, 2016, 2017 that are, I think, all in the  
14 record that adopt the same volume standard. All  
15 the professional societies that are involved  
16 including this, the Interventional Cardiologists,  
17 the Society for Coronary Angiography Intervention,  
18 the American College of Cardiology which  
19 represents all cardiologists, and the American  
20 health -- Heart Association which represents, you  
21 know, everyone involved in cardiac care including  
22 physicians and public health experts and a wide  
23 range of others -- came together to review all of  
24 the pertinent information and evidence and decided  
25 what's safest and the best practice in -- in

1        regards to finding an elective PCI.

2            And the benefit of that is that we don't have  
3        to review every little study because the group of  
4        experts has done that. So I, you know, I saw in  
5        the Applicant's submissions their studies looking  
6        at transfers across the Outback in Australia, or  
7        transfers of ICU patients in Iowa.

8            I don't think that's really pertinent,  
9        because we have our societal guidelines that look  
10       at all the pertinent data and come up with the  
11       recommendation. There their -- their  
12       recommendations are highlighted in bold in my  
13       testimony here.

14           The clinical competence guidelines state that  
15       in order to maintain proficiency while keeping  
16       complications at a low level, minimal volume  
17       greater than 200 PCIs per year will be achieved by  
18       all institutions. And they go on to say that new  
19       programs offering PCI without on-site surgery are  
20       inappropriate unless they clearly serve  
21       geographically isolated populations.

22           In the application the Applicant originally  
23       estimated that their PCI volume would be between  
24       128 and 155 per year, depending on the year, and  
25       that clearly doesn't meet the guidelines.

1           And they have since formed a new estimate,  
2           you know, based on our objection, I think -- and  
3           with the recent uptick in some primary PCI  
4           numbers, but I think it's hard to swallow,  
5           honestly. I'm sure they've put significant time  
6           and effort into coming up with their application  
7           and to expect that their volume has jumped  
8           50 percent, you know, since that time is -- is  
9           hard to understand from my standpoint.

10           You know, there they talked about how the --  
11           the number of elective PCIs often correlates with  
12           the number of primary PCI, and that's true to some  
13           extent. You know, because they're based on the  
14           same, some of the same factors, you know,  
15           population density and, you know, prevalence of  
16           disease. But they don't -- there's no clear link,  
17           and there's no study looking at that.

18           You know, some centers, referral centers like  
19           Columbia University have dramatically more of  
20           elective PCI than they do higher PCI, because  
21           people choose to go there and there's transfers  
22           and referrals there. Other places are  
23           predominantly driven by, you know, who was brought  
24           there by EMS. So it's -- it's not a clear  
25           correlation where we have 80 primary PCIs one year

1 and you're going to necessarily have two or three  
2 hundred total PCIs.

3 I think what's a better predictor in general  
4 is -- is how many cardio caths you do, because  
5 in -- in general about 40 percent of your cardio  
6 caths will generate PCI, because not everybody  
7 needs a stent. You know, often we do these  
8 procedures and patients are best treated  
9 medically, or we do that procedure and they  
10 require bypass surgery. Or we do the procedure  
11 and it's complicated, and we have to stop and  
12 think it over and talk it over.

13 So not every cardio catheterization ends up  
14 with a PCI, and if you look at the volume of  
15 nonprimary PCI cardio catheterizations, it's not a  
16 big number. It ranges from 83 to 105 over the  
17 last couple of years. And if you look at the  
18 transfers out, you know, where people get PCI in  
19 another center, it's not a big number.

20 And so I think the original application  
21 estimates are reasonable, and those are all less  
22 than 200 PCIs per year.

23 You know, I -- I think the -- it's -- it's a  
24 stagnant market in terms of PCI. You know the  
25 population is aging. There are more diabetics.

1       So could that lead to more cardiac disease in the  
2       future? It's possible, but on the other hand we  
3       have more and more evidence over the years that  
4       other than primary PCI all of our elective PCIs  
5       are not necessarily life-saving procedures.

6               There they do treat symptoms. They do help  
7       people live better lives sometimes, but in -- in  
8       contrast to the Applicant's suggestion that PCI is  
9       underutilized, you know those are studies from  
10      1999 and 2003.

11             If you look at more recent studies, there's  
12      been a strong push that PCI is -- is overutilized,  
13      and the appropriate use criteria were invented,  
14      not to drive people to get more PCI, but in fact  
15      the opposite, that there was a strong intention  
16      that we were doing too many.

17             I -- I wish it was otherwise, because it's my  
18      job. I would love to be doing more, but you know,  
19      if you look at regional and statewide and national  
20      trends it's at best stagnant. And so I think it's  
21      very unlikely that they're going to get to 200  
22      PCIs per year, which is what the guidelines  
23      suggests is the -- suggests in terms of outcomes  
24      and safety.

25             And even if they did, in a stagnant market

1 the only way to do that would be pulling from all  
2 the surrounding full-service elective PCI programs  
3 which has the potential to hurt there everywhere.

4 Thank you.

5 THE HEARING OFFICER: Thanks, Dr. Martin.

6 MR. MONAHAN: And Hearing Officer Mitchell, I would  
7 like to introduce John Bailey as our next witness.

8 And you can proceed to address the Hearing  
9 Officer.

10 THE WITNESS (Bailey): Thank you, and good morning,  
11 Hearing Officer Mitchell and the team from the OHS  
12 planning office. My name is Jonathan Bailey. I  
13 have the privilege of serving as the Senior Vice  
14 President of Operation and Chief Operating Officer  
15 for Stamford Health.

16 I'd first just start off by saying that  
17 Stamford Health is deeply committed to the  
18 communities that we serve. I believe this has  
19 been absolutely underscored by our response to the  
20 COVID-19 pandemic through that initial wave of  
21 COVID that -- COVID infections that hit this  
22 community incredibly hard, and has been ongoing as  
23 we have now taken a role back in saving our  
24 communities, having now administered more than  
25 100,000 vaccines this week to the communities of



1 low -- Lower Fairfield County.

2 There are five points that I'd like to  
3 specifically call out from my testimony this  
4 morning. Because we are gravely concerned at the  
5 recent interests at health systems to establish  
6 low-volume percutaneous coronary intervention, PCI  
7 programs without on-site cardiac surgery programs  
8 in Fairfield County, despite the fact that there  
9 are already four existing PCI programs in the area  
10 with on-site cardiac surgery, and all four of  
11 those programs are within the clinical practice  
12 guidelines established on travel range.

13 My first point is that the Applicant's  
14 proposal is inconsistent with the statewide  
15 healthcare facilities and services plan. As my  
16 colleagues have shared, and has been stated within  
17 the state facility plan, that the most recent  
18 professional consensus statement addressing  
19 elective PCI without on-site cardiac surgery  
20 establishes an annual minimum threshold of 200  
21 PCIs, and provides a sole exception for those  
22 facilities serving underserved areas or those that  
23 are geographically isolated. Neither of those  
24 situations apply in the case before us today.

25 We are an organization, as you've heard from

1 Dr. Bhalla, that strongly believes where  
2 professional standards and clinical guidelines  
3 exist we must follow them, because we know they  
4 are the foundation for which we can achieve  
5 improved clinical outcomes and reduce unnecessary  
6 harm.

7 The projected PCI volume as stated in the  
8 original application here by the applicants never  
9 reached that 200 annual PCI threshold. It was  
10 only after the OHS public hearing issues list that  
11 the Applicant now has claimed that it will be able  
12 to meet that minimum PCI volume, and that these  
13 new projected PCI volume or cases are derived  
14 through a methodology that, frankly, is without  
15 basis and definitely ignores regional, statewide  
16 and national trends.

17 My second point is that the application fails  
18 to establish clear public need for a low-volume  
19 PCI program in the proposed service area, and  
20 fails to take into account the existing  
21 full-service cardiovascular programs in the  
22 region.

23 Simply stated, there is no unmet need.  
24 Stamford Health's well-established program, which  
25 we are proud has been recognized for our

1 high-quality outcomes, is located merely 10 miles,  
2 or an 18-minute drive from Norwalk Hospital. And  
3 we have ample capacity to continue to meet the  
4 needs of the community.

5 This simple fact negates the Applicant's  
6 assertion that for patients in the Norwalk  
7 Hospital service area, the option to receive  
8 elective PCI is not available to them -- and to  
9 quote the Applicants, they must be transferred out  
10 of their community.

11 In fact if you look at the data, every  
12 primary service area town is within a 30-minute  
13 drive of the service area defined -- of Norwalk  
14 Hospital, and frankly four of the five towns  
15 defined have more than two -- or have two or more  
16 hospitals within that 30-minute range.

17 It is clear that there is no geographic  
18 isolation that exists in the Applicant's primary  
19 service area. The desire of a health system to  
20 restrict patient care to its own facilities does  
21 not constitute unmet need.

22 My third point is that Norwalk Hospital's  
23 cardiac catheterization utilization volume in  
24 trend do not support the projected volume in the  
25 application, and go against the national and

1 statewide projections.

2 The Applicant's historical data that they  
3 have submitted in their application demonstrates  
4 declines in both cardiac catheterization and  
5 primary pre -- PCI procedures pre-COVID. In fact,  
6 Norwalk Hospital's cardiac catheterization volumes  
7 declined more than 18 percent; and their PCI  
8 volume, primary PCI volume declines by more than  
9 16 percent between FY '17 and FY '19.

10 Despite these historical declines the  
11 Applicant projects a dramatic increase in PCI and  
12 cardiac catheterization procedures without  
13 providing any empirical evidence to support its  
14 assumed capture rate, or it's assumed annual  
15 growth rates. This downward trend is projected to  
16 increase -- or to continue post pandemic.

17 SG2, a very well-known healthcare consultancy  
18 group was cited by the Applicant in their  
19 application, projects that the Applicant's service  
20 area service towns will generate 1.7 percent fewer  
21 PCIs between FY '19 and FY '24.

22 Despite these projections the Applicant  
23 originally projected a staggering 195 percent  
24 increase in cardiac catheterizations, and a  
25 43.6 percent increase in primary PCIs between FY

1 '20 and FY '23, while elective PCIs are presumed  
2 to increase 10 percent annually with no basis as  
3 to where that volume will come from. Further,  
4 Norwalk Hospital fails to provide any recognized  
5 basis for its newly revised method of applying a  
6 multiplier to its primary PCIs to derive its  
7 elective PCI volume.

8 My fourth point is that the Applicant's  
9 proposal will negatively impact the financial  
10 strength of the overall healthcare system in this  
11 state. The Applicant's proposed PCI program is  
12 duplicative of those offered by the existing  
13 full-service cardiovascular programs and will  
14 result in unnecessary increases in expenses for  
15 the statewide healthcare system.

16 The restated financial worksheet submitted by  
17 the Applicant, worksheet A documents that Norwalk  
18 Hospital projects incremental operating expenses  
19 of 1.03 million, 1.3 million and 1.6 million  
20 respectively for the next three years.

21 And further as Dr. Yekta mentioned in his  
22 testimony, that Norwalk Hospital is building a new  
23 cath lab which we also would recognize will have  
24 significant increased expenses to the healthcare  
25 system.

1           Given the ready access to existing providers  
2           in the region these incremental operating and  
3           capital expenses represent the very unnecessary,  
4           frivolous spending that the CON regulations and  
5           the statutes and the healthcare policies seek to  
6           avoid.

7           Finally, Norwalk Hospital does not provide  
8           any evidence for the -- that the proposed elective  
9           PCI program will improve quality, accessibility or  
10          cost-effectiveness of healthcare delivery in the  
11          region.

12          The application contains no statistics or  
13          outcome measures that would indicate that the  
14          services that are currently being provided in this  
15          region lack quality elective PCI care or are  
16          outside of the distance of the 30-minute drive as  
17          defined by the clinical practice guidelines.  
18          Instead the Applicant, as Dr. Martin mentioned,  
19          offers links to various articles that we believe  
20          are frankly irrelevant to the application.

21          As a reminder, Norwalk Hospital previously  
22          applied for the ability to perform elective PCIs  
23          in the hospital, and OHS denied them before.  
24          There is no compelling basis for OHS to reach the  
25          different conclusion than it has previously.

1           We believe that the OHS/CON goals remain very  
2 relevant and pertinent to the situation presented  
3 to this application.

4           Improving access to high-quality health  
5 services, minimizing duplication services,  
6 facilitating healthcare market stability and  
7 helping to contain healthcare costs are critical  
8 to the healthcare future of the great State of  
9 Connecticut.

10          Thank you and I'm happy to address any  
11 questions you may have.

12          And I failed to mention, even though I did  
13 write it up -- to my remind myself that I do -- I  
14 do adopt my prefiled testimony as written.

15 THE HEARING OFFICER: Thank you, Mr. Bailey.

16          Do you have any additional witnesses,  
17 Attorney Monahan?

18 MR. MONAHAN: The Intervener has no additional  
19 witnesses.

20 THE HEARING OFFICER: All right. Anything else that  
21 you wanted to present before we go to the  
22 cross-examination phase?

23 MR. MONAHAN: Nothing from the Intervener, Hearing  
24 Officer.

25 THE HEARING OFFICER: All right. Thank you. So I

1 think what we're going to do, I think we should  
2 take about a ten-minute break here before we  
3 should start cross-examination.

4 I just want to make sure the attorneys are  
5 amenable to that? We'll go to Attorney Tucci  
6 first.

7 MR. TUCCI: Yes. Thank you, Hearing Officer Mitchell.

8 That is fine.

9 THE HEARING OFFICER: Okay. And also Attorney Monahan?

10 MR. MONAHAN: That is absolutely fine.

11 THE HEARING OFFICER: All right. So we are going to  
12 stop for about ten tenants. We will come back on  
13 the record at 11:25. I'll give everybody a little  
14 bit of notice before we start recording again --  
15 or not recording, but before we start the  
16 proceedings again. Thank you.

17 MR. MONAHAN: What is the order of the  
18 cross-examination?

19 THE HEARING OFFICER: According to the agenda we're  
20 going to start with the Applicant's examination of  
21 the Intervener.

22 MR. MONAHAN: Thank you.

23 THE HEARING OFFICER: All right. See everybody in  
24 about ten minutes.



1 (Pause: 11:13 a.m. to 11:26 a.m.)

2  
3 THE HEARING OFFICER: All right. We're going to go  
4 back on the record.

5 At this time we're going to start with the  
6 Applicant's cross-examination of the Intervener.

7 MR. TUCCI: Good morning, Hearing Officer Mitchell.  
8 This is Ted Tucci, and I ask for as our first  
9 witness on cross-examination Kathleen Silard.

10 May I proceed?

11 THE HEARING OFFICER: Yes, you may. No worries.

12  
13 CROSS EXAMINATION (Silard)

14  
15 MR. TUCCI: Ms. Silard, this is Ted Tucci. Good  
16 morning.

17 THE WITNESS (Silard): Hi. Hi, Mr. Tucci.

18 MR. TUCCI: I appreciate your permission to allow me to  
19 speak with you this morning.

20 BY MR. TUCCI:

21 Q. Now you've been in an executive position in  
22 Stamford Hospital for about the past 20  
23 years. Correct?

24 A. Correct.

25 Q. And you were trained originally as a nurse?

1           A.     Yes.

2           Q.     You obtained your BS in nursing in 1979?

3           A.     That's correct.

4           Q.     Would it be fair to say that the focus of  
5                   your efforts and involvement in the  
6                   healthcare delivery system for the last 20  
7                   years or so have been primarily involved in  
8                   the administration and management of  
9                   hospitals and healthcare systems?

10          A.     My primary roles have been leadership roles.  
11                 That's correct.

12          Q.     Yes.  As opposed to the delivery of frontline  
13                   care?

14          A.     I have not been at the bedside, no.  
15                 That's -- that's evident.

16          Q.     In your prefiled testimony you noted that you  
17                   would be in the presentation of your remarks  
18                   deferring to the administrative and clinical  
19                   expertise of the other Stamford Health  
20                   witnesses who spoke here this morning with  
21                   respect to the subject matter of their  
22                   testimony.

23                         And you would agree with me that the  
24                         subject matter that brings us here today is  
25                         the broad subject matter of cardiovascular

1                   care. Correct?

2           A.     Correct.

3           Q.     And in particular what we're focusing on here  
4                   is the guidelines, requirements and standards  
5                   that apply to the interventional  
6                   cardiovascular procedure that is known as  
7                   percutaneous coronary intervention, or PCI.  
8                   Right?

9           A.     Correct.

10          Q.     And it would be fair, would it not, to say  
11                  that you did not consider yourself to be a  
12                  subject matter expert in the area of cardiac  
13                  care and cardiovascular care. Correct?

14          A.     I am not a subject matter expert like the  
15                  other experts that are here with me today.

16          Q.     Right. And that's one of the reasons why you  
17                  took care to note in your written testimony  
18                  that you were deferring to their expertise  
19                  and their knowledge of the depth of the  
20                  subject matter relating to cardiovascular  
21                  care.

22                  Correct?

23          A.     Certainly as it relates to the science and  
24                  the interpretation of the guidelines.

25          Q.     Right. And so you would agree with me that

1           you did not consider yourself to be a subject  
2           matter expert with respect to the various  
3           clinical guidelines and standards that have  
4           been discussed here this morning that apply  
5           to the interventional cardiology procedure  
6           known as PCI. Right? You're not an  
7           authoritative expert on that. Right?

8   MR. MONAHAN: Object, asked and answered.

9   THE HEARING OFFICER: All right. That's correct. If  
10          you can move to a different line of questioning,  
11          Attorney Tucci?

12   MR. TUCCI: Sure. Happy to.

13          BY MR. TUCCI:

14           Q. You also noted in your written testimony and  
15           in your comments to Hearing Officer Mitchell  
16           this morning that you took care to note that  
17           you have great respect for your professional  
18           colleagues at Norwich Hospital and with the  
19           Nuvance Health System.

20                    Would it be correct to conclude that of  
21           your own knowledge you certainly don't have  
22           any basis to question the professional  
23           qualifications, skills and competence of the  
24           interventional cardiology team at Norwalk  
25           Hospital?

1           A.    I have no -- no issue or question about the  
2                    competency of the -- the clinic -- clinical  
3                    team. I don't know that. My issue is around  
4                    if the application meets the CON statute as  
5                    it is currently in effect in the State of  
6                    Connecticut.

7           Q.    Okay. We'll get to that. And you would  
8                    agree with me that you don't have any basis  
9                    to question the adequacy or status of the  
10                   interventional cardiology or cardiac  
11                   catheterization facilities that currently  
12                   exist at Norwalk Hospital. That's not  
13                   something that you're equipped to express an  
14                   opinion on?

15          A.    I have no knowledge of their facilities or  
16                   the adequacy of them.

17          Q.    Now you are aware of your own general  
18                   knowledge. Are you not? That the current  
19                   state of play in the healthcare landscape in  
20                   your area is that when a patient comes to  
21                   Norwalk Hospital and presents with ST  
22                   elevation, a STEMI profile, that is at  
23                   serious risk of heart attack -- that the  
24                   medical professionals at Norwalk Hospital  
25                   perform urgent PCI on that patient.

1                   You know that to be true. Right?

2           A.     That was stated today, yes.

3           Q.     And the opposition in part that Stamford  
4                   Hospital has raised here to the certificate  
5                   of need request, and in your position as an  
6                   Intervener is that those doctors at Norwalk,  
7                   Norwalk Hospital who are currently doing  
8                   primary PCI procedure should not be allowed  
9                   to do PCI on patients who present with less  
10                  intense cardiac symptoms.

11                   Correct?

12   MR. MONAHAN: Object to the form of the question. I  
13                   don't think that's an accurate representation of  
14                   the testimony.

15   MR. TUCCI: Well, I'm asking the Witness.

16           BY MR. TUCCI:

17           Q.     Isn't that so? You know. You know for a  
18                   fact that Norwalk Hospital doctors perform  
19                   PCI procedures on people who are in imminent  
20                   danger of dying of a heart attack. Correct?

21           A.     I know that they perform procedures. It's  
22                   not -- the characteristics of, or the  
23                   competency or the clinical acumen of the  
24                   physician is not in question in my testimony.

25                   It's the establishment of a program that

1                   will be underperforming.

2           Q.     Right.  And the procedure we're talking about  
3                   here is percutaneous coronary intervention.

4                   Correct?

5           A.     We -- yes, we stated that.

6           Q.     Right.  And that procedure is currently being  
7                   performed at Norwalk Hospital -- to your  
8                   knowledge.  Right?

9           A.     Emergency, yes.

10          Q.     Yeah.  And so the question is whether or not  
11                  Norwalk Hospital should be allowed to do that  
12                  procedure on patients who present with less  
13                  severe symptoms.  Isn't that right.

14  MR. MONAHAN:  Object to the form of the question.  The  
15                  application speaks for itself.

16  MR. TUCCI:  Well, that's not an objection to the form,  
17                  Hearing Officer Mitchell.  I think I'm entitled on  
18                  my cross-examination to understand the basis for  
19                  the Intervener's opposition to the application.

20  THE HEARING OFFICER:  So I'm going to ask Ms. Silard,  
21                  what is the basis of your understanding about why  
22                  Norwalk Hospital should or should not be able to  
23                  perform elective PCI?

24  THE WITNESS (Silard):  Because the current CON law  
25                  requires that -- that the approval would only be

1 provided if there was demonstrated unmet need,  
2 not -- not provided in this, in this hearing, that  
3 there would not be a duplication of services,  
4 which the application clearly demonstrated there  
5 would be.

6 And that there would be an improve -- an  
7 improvement in quality, not demonstrated. And  
8 that there would be reduced costs -- or no  
9 increased costs, pardon me, and that is also not  
10 demonstrated.

11 That is the premise of my objection.

12 MR. TUCCI: Thank you, Hearing Officer Mitchell.

13 May I continue?

14 THE HEARING OFFICER: Yes.

15 BY MR. TUCCI:

16 Q. So Ms. Silard, really what we're talking  
17 about here is, and as I understand the gist  
18 of your testimony, your firm statement to the  
19 Office of Health Strategy is to affirm the  
20 importance of making sure that applications  
21 for CON approval apply with the controlling  
22 CON law.

23 Right? Isn't that the substance of what  
24 you're talking about here?

25 A. That is what I said.



1 Q. And you would agree with me as you stated in  
2 your written prefiled testimony at page 2  
3 that you're not a legislator. You're not a  
4 legislator. Correct?

5 A. No, I am not.

6 Q. And you're obviously not a lawyer. Correct?

7 A. I am not.

8 Q. And you would agree you're not a  
9 representative of an executive agency of the  
10 State, like the Office of Health Strategy.

11 Correct?

12 A. Correct.

13 Q. I assume you do not consider yourself to be  
14 an expert in the interpretation and  
15 application of legal requirements for CONs.

16 Is that true?

17 A. I'm not an expert, but I do know them. I've  
18 read them.

19 Q. All right. Now one of the things that I  
20 think you have communicated on behalf of  
21 Stamford Health here this morning is your  
22 belief that it is a worthy goal to strive  
23 for -- and I think I'm quoting from your  
24 prefiled testimony, to strive for, quote, the  
25 secure access to quality care for all

1 Connecticut residents.

2 You believe that's a worthy goal of the  
3 healthcare delivery system in Connecticut.

4 Correct?

5 A. Yes.

6 Q. And under the current healthcare delivery  
7 system that we have in your area a patient  
8 who has received all of his or her cardiac  
9 care from the doctors at Norwalk Hospital is  
10 currently not able to get care from his or  
11 her interventional cardiologist to do  
12 elective PCI at Norwalk Hospital.

13 Correct?

14 A. That was what was stated.

15 Q. If -- if a reasonable basis could be shown to  
16 support a conclusion that there was an unmet  
17 need for Norwalk Hospital's service area  
18 patients to have elective PCI done at their  
19 hospital of choice, and doing so wouldn't be  
20 an unnecessary duplication of service in the  
21 area, would you continue to oppose this CON  
22 application?

23 MR. MONAHAN: Object to the form, because that is not  
24 one of the principles stated in the CON statute.

25 And I think the Witness has stood on her

1 testimony that she's going by the principles as  
2 stated, not on a hypothetical situation which I  
3 think that is what has been proposed.

4 MR. TUCCI: Well, Hearing Officer Mitchell, two things.  
5 First of all, I think I'm entitled on  
6 cross-examination to ask hypothetical questions.

7 And I wasn't asking the witness a legal  
8 opinion because she's not qualified to give a  
9 legal opinion. I simply asked a factual question  
10 about whether or not if a patient who wanted to  
11 get elective PCI at Norwalk Hospital should be  
12 allowed to get that if it could be shown  
13 reasonably that doing so would not create  
14 unnecessary duplication of services in the service  
15 area.

16 I'm asking whether she agrees that that's a  
17 reasonable proposition or not. That's all.

18 THE HEARING OFFICER: I'm going to allow it.

19 THE WITNESS (Silard): I would -- hypothetically if  
20 Norwalk application was not a duplication of  
21 services, did meet unmet need and met the cost and  
22 quality parameters as recommended in CON law, then  
23 I would not object, but none of those have been  
24 met.

1 BY MR. TUCCI:

2 Q. All right. So what do you think about the  
3 concept of patient choice? Do you think  
4 that's an important consideration to be taken  
5 into account in a healthcare delivery system?

6 A. Yes.

7 MR. TUCCI: All right. Thank you very much.

8 THE HEARING OFFICER: I just wanted to confirm. So no  
9 more questions for Ms. Silard -- because  
10 Ms. Silard left.

11 MR. TUCCI: She left, Hearing Officer Mitchell, because  
12 she's a very astute witness and realized I had no  
13 more questions for her.

14 MR. MONAHAN: I have no redirect for Ms. Silard.

15 THE HEARING OFFICER: Okay. All right. So Attorney  
16 Tucci, you'll let me know who you want -- or let  
17 Attorney Monahan know who you'd like to cross  
18 next.

19 MR. TUCCI: Yes, Hearing Officer Mitchell. I'd ask for  
20 Dr. Bhalla.

21  
22 CROSS-EXAMINATION (Bhalla)

23  
24 BY MR. TUCCI:

25 Q. Good morning, Dr. Bhalla. This is Ted Tucci.

1 Can you hear me all right?

2 A. I can. Good morning, Mr. Tucci.

3 Q. Good morning.

4 Now your role at Stamford Health is in  
5 the area of clinical affairs and quality  
6 assurance. Correct?

7 A. Yes.

8 Q. And you're not a cardiologist. Correct?

9 A. Right.

10 Q. Don't practice and not trained as an  
11 interventional cardiologist?

12 A. No. My -- my board certifications are in  
13 internal medicine, prevention medicine and  
14 public health.

15 Q. Now as I understood the general sum and  
16 substance of your written prefiled testimony  
17 submission, you -- you are, as a general  
18 proposition, confirming your views that the  
19 existence of and adherence to clinical  
20 practice guidelines, as a general  
21 proposition, is an important thing.

22 Do I have that right?

23 A. Yes.

24 Q. Okay. And you're aware, are you not, that  
25 with respect to the performance of PCI

1           procedures without on-site surgical backup,  
2           there have been published over the course of  
3           a number of years various statements and  
4           consensus documents and other documents that  
5           could be characterized as guidelines with  
6           respect to the subject of PCI.

7                       Correct?

8           A.    Yes, with -- with respect to the -- to not  
9           having on-site cardiac surgery, that's  
10          consistent with the 2014 guidelines that we  
11          discussed.

12          Q.    Well, yeah.  There's lots of different  
13          guidelines that have been published over the  
14          years.  Right?

15          A.    Correct.

16          Q.    And some of those guidelines have come from  
17          SCAI, the Society for Cardiovascular  
18          Angiography and Intervention.  Right?

19          A.    Correct.

20          Q.    The American College of Cardiology, ACC, and  
21          the American Heart Association.  Right?

22          A.    Yes.

23          Q.    Now as I read your prefiled testimony I did  
24          not see any discussion or analysis in your  
25          prefiled testimony that interpreted or

1 applied the various requirements contained in  
2 those different policy or consensus  
3 statements.

4 Am I correct about that?

5 A. My testimony stated that the application is  
6 inconsistent with current guidelines. The  
7 guidelines that were referenced speak to a  
8 consistent adverse signal associated with  
9 poor outcomes in institutions that do less  
10 than 200 PCIs annually as stated in the  
11 guidelines.

12 Q. Do you consider yourself to be an expert with  
13 respect to the various consensus documents  
14 and guidelines that have been published in  
15 the area of cardiology with respect to  
16 performance of PCI without surgical backup?

17 A. My expertise is in quality of care, safety of  
18 healthcare, and healthcare delivery.

19 Q. So the answer would be no?

20 MR. MONAHAN: I'll object to that, to the argumentative  
21 response by Mr. Tucci.

22 MR. TUCCI: Well, I'm just trying to draw a conclusion  
23 from the Witness' testimony.

24 BY MR. TUCCI:

25 Q. Do you agree with me that you're not an

1 expert in that particular area of clinical  
2 guidelines? You're not a cardiologist.  
3 Correct?

4 A. (Unintelligible.)

5 THE HEARING OFFICER: Yeah, I was going to say I was  
6 going to allow it for the purpose of  
7 clarification. I'm just going to ask both  
8 counsel, whenever there's an objection raised to  
9 allow me to respond to the objection. Thanks.

10 BY MR. TUCCI:

11 Q. Doctor, can you respond?

12 A. I am not a cardiology expert, but I reviewed  
13 many different guidelines for different areas  
14 of clinical care.

15 Q. All right. So with respect to your general  
16 familiarity with clinical guidelines and  
17 their application in medicine as a general  
18 proposition, would you also agree that as a  
19 general matter it's important for that  
20 clinical guidelines be updated when  
21 necessary?

22 A. I think the guidelines should be updated when  
23 there's material change in the body of  
24 evidence that supports a change in practice.

25 Q. And would you agree that in some instances a



1 material change in the body of evidence could  
2 be as a result of advancements in medicine  
3 and the advent of new technology relating to  
4 the provision of that service?

5 A. Yes.

6 Q. Again, given your focus in your role with  
7 respect to quality assurance, I know you feel  
8 strongly that quality and safety are  
9 important factors that need to be accounted  
10 for in the delivery of healthcare to  
11 patients.

12 Correct?

13 A. Yes.

14 Q. Would you also agree that in today's world in  
15 the delivery of health care, that cost and  
16 value of healthcare delivery are components  
17 that should be taken into account in  
18 considering how best to get health care to  
19 the people of the state of Connecticut?

20 A. Yes.

21 Q. And in fact, you talked about that in your  
22 prefiled testimony. Don't you? You -- you  
23 referred to, in fact, some specific  
24 initiatives that the Office of Health  
25 Strategy has undertaken in the past several

1                   years to do just that, to promote the  
2                   improvement of healthcare value. Right?

3           A.    Yes, adherence to guidelines such as the ones  
4                   from 2014 are associated with improvements in  
5                   care, reduction in harm and reduction in  
6                   inappropriate use.

7           Q.    And so would you agree that where it's  
8                   reasonably clear that minimum quality  
9                   standards are being met, that it's also a  
10                  desirable goal to make sure that the health  
11                  care that is being delivered is being  
12                  delivered as cost effectively and cost  
13                  efficiently as possible.

14                         Right?

15   MR. MONAHAN: Object to the form of the question. I'm  
16                   not sure very candidly, with the question -- if I  
17                   may? In whose judgment is it reasonably clear?

18   THE HEARING OFFICER: Did you want to respond to the  
19                   objection, Attorney Tucci?

20   MR. TUCCI: Thank you, Hearing Officer Mitchell.

21           BY MR. TUCCI:

22           Q.    I'm asking about this witness who is a  
23                   physician who's in the area of quality  
24                   assurance about what his judgment is about  
25                   the balance between quality and cost?

1           A.    Mr. Tucci, you -- you said, minimal quality  
2                   standards. My testimony pertained to  
3                   consensus guidelines from three different  
4                   societies. I'm not sure what you mean by  
5                   minimal quality standards.

6           Q.    Okay. I apologize. It may be my ignorance  
7                   in using the wrong terminology. My question  
8                   is really very simple. All other  
9                   things being equal, assuming that health care  
10                  is being delivered at the appropriate level  
11                  of quality and safety, would you agree that  
12                  it is also important to ensure that that  
13                  quality and safe care is delivered as cost  
14                  efficiently as possible?

15          A.    Yes, if you mean that the appropriate level  
16                  of quality of care equates with following  
17                  professional consensus guidelines.

18          Q.    Okay. And so for example, in today's world  
19                  where we're looking to control healthcare  
20                  costs, one way that the overall cost of  
21                  health care could be reduced and delivered  
22                  more efficiently is to eliminate the running  
23                  of duplicative tests.

24                         Right?

25          A.    Yes.

1           Q.     And one way that the cost of health care  
2                   could be streamlined and made more efficient  
3                   would be to eliminate the emergency transport  
4                   of patients if it was not otherwise necessary  
5                   to do that. Right?

6     MR. MONAHAN: Object to the form of the question.

7     THE HEARING OFFICER: Response, Attorney Tucci?

8     MR. TUCCI: Well, I'm -- Attorney Mitchell, I'm at a  
9                loss to understand what the objection to the form  
10               of the question is, so (unintelligible).

11    MR. MONAHAN: The form (unintelligible). Hearing  
12                Officer, if I may? I will state why the form is,  
13                in my view, inappropriate.

14    THE HEARING OFFICER: Absolutely, yeah.

15    MR. MONAHAN: The Witness has been testifying  
16                repeatedly to the context of the consensus  
17                document and the consensus requirements, yet the  
18                questions seemed to tail off back into isolated  
19                instances or hypotheticals without connecting the  
20                Witness' prior statement.

21                So I want there to be -- the form of the  
22                question to me suggests a gap and, perhaps  
23                confusion on the record about the continuity of  
24                this Witness' testimony.

25    THE HEARING OFFICER: Attorney Tucci?

1 MR. TUCCI: Thank you, Hearing Officer Mitchell.

2 I don't think there's any gap at all. I'm  
3 asking this witness who is a physician who is  
4 expert in the subject of quality assurance to give  
5 the Hearing Officer and OHS the benefit of his  
6 view on strategies that exist to balance both  
7 quality and cost.

8 That exists generally in medicine and it can  
9 be applied specifically to the facts of this  
10 hearing.

11 THE HEARING OFFICER: Okay. I'm going to allow a few  
12 more questions on this issue as long as they're  
13 not unduly repetitive.

14 MR. TUCCI: This will be the last one, Hearing Officer.

15 THE WITNESS (Bhalla): Can you repeat your question?

16 BY MR. TUCCI:

17 Q. Doctor, my question is if we're talking about  
18 achieving the goal of delivering health care  
19 as cost efficiently as possible, would you  
20 agree that where circumstances are  
21 appropriate avoiding the unnecessary  
22 emergency transport of a patient from one  
23 facility to another would be one strategy to  
24 help bring down the cost of health care?

25 A. One who's focused solely on cost, that would

1 be correct, but the guidelines for 2014  
2 clearly state that in the interests of  
3 quality and safety, transfer is unnecessary  
4 if it can be achieved within 30 minutes.  
5 That's a situation where quality and safety  
6 outweigh any cost consideration.

7 Q. All right. Doctor, you concluded your  
8 prefiled testimony with this statement. I'm  
9 going to quote it to you.

10 On behalf of Stamford Health you  
11 indicated that Stamford Health, quote,  
12 encourages OHS to continue to be guided by  
13 science and not the business desires of  
14 health systems.

15 That was what you wrote in your prefiled  
16 testimony. Do you recall that?

17 A. Yes.

18 Q. So with respect to the performance of  
19 elective PCI, if it could be reasonably  
20 concluded that the performance of elective  
21 PCI could be done safely at Norwalk Hospital  
22 without surgical backup, do you agree that  
23 that's an important factor that OHS should be  
24 guided by, that -- that scientific factor?

25 A. My comment pertained to the reasonableness of

1           the volume that's being proposed.

2           Q.    I didn't ask you about what your comment  
3                pertained to.  I'm asking you now, you said  
4                in your testimony, your sworn testimony you  
5                submitted to OHS that OHS should be guided by  
6                science and not business desires.

7                        Didn't you say that?

8           A.    Yes.

9   MR. MONAHAN:  May I object to the argumentative tone?

10           And the Witness gave a very reasoned answer to the  
11           question to explain his answer.

12                       And while Mr. Tucci may not be pleased with  
13           the answer, I don't think that tone responds to  
14           the Witness appropriately.

15   THE HEARING OFFICER:  I'm going to sustain.

16   MR. TUCCI:  Thank you, Hearing Officer Mitchell.

17                       I apologize for my tone.  My wife often  
18           reminds me that I need to be careful about that.  
19           So let me just reask the question, because I think  
20           it's fair cross-examination.

21                       And I believe that, Hearing Officer Mitchell,  
22           the purpose of cross-examination is not to elicit  
23           explanation, but to elicit direct answers to  
24           specific questions, which is all I was attempting  
25           to do.

1 BY MR. TUCCI:

2 Q. So Doctor, if the evidence showed and it  
3 could be reasonably concluded that it was  
4 safe to do elective PCI procedures on  
5 patients at Norwalk Hospital even though  
6 there is no CABG surgical backup, do you  
7 agree that that is a factor that OHS should  
8 take into account?

9 A. Yes, if the safety is predicated on volume,  
10 which is what the basis of safe -- the  
11 ability to do this procedure safely is, that  
12 a volume over 200 PCIs annually. It should  
13 be -- that's what the guidelines say.

14 Q. So to modify my question then, if there was a  
15 reasonable basis to conclude in your view  
16 that that volume threshold was reasonably  
17 attainable, you would think that you would  
18 agree that that's an important factor for OHS  
19 to be guided by in terms of being able to do  
20 elective procedures without surgical backup.

21 True?

22 A. Yes, if it was reasonably attainable.

23 Q. And if it was reasonably attainable, then you  
24 would agree with me that Stamford Health's  
25 business desire to retain elective PCI



1                   procedures that formerly were transferred  
2                   from Norwalk Hospital is -- would be a less  
3                   important factor for OHS to consider even  
4                   though it might result in Stamford Hospital  
5                   losing some elective business.

6                   Right?

7   MR. MONAHAN:   Objective to the form.   Calls for  
8                   speculation about what OHS may consider.

9   THE HEARING OFFICER:   Attorney Tucci, any response on  
10                   the objection?

11   MR. TUCCI:   Respectfully Hearing Officer Mitchell, it  
12                   doesn't call for speculation at all.   It states a  
13                   factual premise and asks the Witness if that  
14                   factual premise is proven by the evidence, what  
15                   his reaction to it is.

16   THE HEARING OFFICER:   I'm going to allow it.

17   THE WITNESS (Bhalla):   My area is not the business  
18                   interests of Stamford Health.   It's clinical  
19                   affairs and quality.   In general it's shifting  
20                   volume from -- from one center to another will  
21                   result in of dilution of procedures across the  
22                   region.

23   MR. TUCCI:   Thank you very much.

24                   Those are all my questions.

25   THE HEARING OFFICER:   Thank you, Dr. Bhalla.

1 THE WITNESS (Bhalla): Thank you.

2 MR. TUCCI: Hearing Officer Mitchell, I'd request  
3 Dr. Bailey be available for cross-examination.

4 MR. MONAHAN: And just for clarification, can  
5 Mr. Bailey and Dr. Martin -- I don't know if you  
6 were going from one or the other?

7 MR. TUCCI: Yeah. No, I apologize. That was my  
8 mistake. Thank you, Mr. Monahan. I meant  
9 Mr. Bailey.

10  
11 CROSS EXAMINATION (Bailey)

12  
13 BY MR. TUCCI:

14 Q. Good morning, Mr. Bailey. Can you hear me  
15 okay?

16 A. I can. Good morning.

17 Q. And good morning to you. Now back on  
18 September 25 of 2020 you testified in  
19 opposition to the Greenwich Hospital CON for  
20 the approval of elective PCI. Correct?

21 A. That is correct.

22 Q. And you're here today opposing the Norwalk  
23 Hospital CON request for approval to do  
24 elective PCI. Correct?

25 A. That is correct.

1 Q. In your prefiled testimony at page 2, at the  
2 bottom of page 2 -- and I'm just going to  
3 quote a portion of it.

4 You indicate, I am testifying today on  
5 behalf of Stamford Health in strong  
6 opposition to the application submitted by  
7 the Norwalk Hospital Association seeking  
8 authorization to establish elective  
9 percutaneous coronary intervention services  
10 at Norwalk Hospital.

11 Do you recall submitting that written  
12 prefiled testimony?

13 A. I do.

14 Q. And are you aware that large portions of the  
15 prefiled testimony that you submitted in  
16 opposition to the Norwalk CON application are  
17 word for word the same thing that you said  
18 when you opposed the Greenwich PCI  
19 application?

20 MR. MONAHAN: I'm going to object. Are you saying -- I  
21 don't mean to be too picky. Is it similar in  
22 substance, or are you saying verbatim?

23 BY MR. TUCCI:

24 Q. I'm asking you -- I'm asking the Witness. I  
25 think it was very clear, are you aware that

1 the portion of your testimony that I just  
2 quoted in virtually word for word is the same  
3 testimony that you gave when you opposed the  
4 Greenwich PCI application?

5 It's a very simple question.

6 A. I guess I can ask to clarify. Are you asking  
7 about the words you just quoted being the  
8 same that were actually submitted in the  
9 previous, so whatever 40 words, that quote  
10 you just stated?

11 Q. Well, Mr. Bailey, I assume you read your  
12 written prefiled testimony that you submitted  
13 here in this proceeding. Right?

14 A. That's correct.

15 Q. And so I'm asking -- my question then is, are  
16 you aware that significant portions of the  
17 written prefiled testimony that you've  
18 submitted in this hearing substantially  
19 mirror the same testimony that you gave in  
20 writing in the proceeding seven months ago?

21 That's all.

22 A. So let me answer your question this way. I  
23 did not do a side-by-side page turn comparing  
24 the two. So I'm hard-pressed to be able to  
25 answer/address your question to your --

1                   probably your satisfaction.

2                   But I would say in general, no I would  
3                   not agree with you that it they are  
4                   substantially the same. In fact, I believe  
5                   there are significant additional points that  
6                   I point to in this overall submission.

7                   Only I believe in ten points -- and if  
8                   you would compare that to what I submitted  
9                   before with the Greenwich application, there  
10                  was nowhere close to ten points given in  
11                  these. No, I disagree with your assessment  
12                  of that.

13                Q. All right. Thank you very much. So I assume  
14                  you would have no problem with the Office of  
15                  Health Strategy taking administrative notice  
16                  of your prior testimony and looking at it in  
17                  comparison with your testimony today.

18                        Correct?

19                A. I believe our attorney has submitted that as  
20                  prefiled in his opening comments. I think  
21                  that that's already been stated.

22                Q. All right. Now you -- among the points that  
23                  you have raised in opposition to the CON  
24                  application is a point that you made in your  
25                  written testimony and that you reiterated

1 orally here today. You believe that the  
2 Norwalk Hospital application has not  
3 adequately taken into consideration the other  
4 full-service cardiovascular programs in the  
5 region. Correct?

6 A. That is correct. I believe that is the  
7 missing statement -- or missing assessment.

8 Q. All right. Now you acknowledge, do you not,  
9 that there are no elective PCI programs in  
10 the Norwalk Hospital service area?

11 A. Can you clear -- when you're saying, service  
12 area, you, you're talking their primary  
13 service area? Or the adjacency as defined by  
14 the State?

15 Q. Well, I think the question was very clear,  
16 Mr. Bailey. And I'm actually -- if you need  
17 clarification perhaps you could go to page 11  
18 of your prefiled testimony?

19 A. Yeah, I'm on page 11.

20 Q. Let me direct you to Roman seven.

21 Do you have that in front of you?

22 A. That is correct.

23 Q. While the Applicant states -- I'm quoting,  
24 while the Applicant states that there are no  
25 elective PCI programs within its proposed

1 service area.

2 Do you see that written statement in  
3 your prefiled testimony?

4 A. I do.

5 Q. You agree with what -- as a matter of fact,  
6 you agree, do you not, that there are no  
7 elective PCI programs within the Norwalk  
8 Hospital primary service area? Correct?

9 MR. MONAHAN: I object. You're asking him if he  
10 stated -- I think you used the words, he referred  
11 to the, what the applications state -- but maybe I  
12 misunderstand what you say.

13 MR. TUCCI: I'll ask the question again, Hearing  
14 Officer Mitchell.

15 BY MR. TUCCI:

16 Q. The Norwalk Hospital's application stating  
17 that there are no elective PCI programs  
18 within its primary service area, is that an  
19 accurate statement?

20 A. Yes, that is an accurate statement.

21 Q. Now the four, the four programs that you  
22 indicate that OHS should be concerned about,  
23 those full-service cardiovascular programs,  
24 one of those programs is Stamford Hospital.

25 Correct?

1           A.     That is correct.

2           Q.     And the other full-service cardiac programs  
3                 would be Danbury Hospital which is part of  
4                 the Nuvance system.   Right?

5           A.     Yes.

6           Q.     St. Vincent's Hospital, which is part of the  
7                 Hartford HealthCare system.   Correct?

8           A.     Yes.

9           Q.     Bridgeport Hospital, which is part of the  
10                Yale system.   Correct?

11          A.     Correct.

12          Q.     And so as I understand the gist of your  
13                 testimony, what you're concerned about is the  
14                 creation of what you would view to be  
15                 unnecessarily duplicative elective PCI  
16                 services in the face of these existing four  
17                 system programs that are in the region.

18                 Right?

19          A.     I believe you've articulated my point, yes.

20          Q.     And the -- in intervening in the proceeding  
21                 here today Stamford Hospital, would it be  
22                 fair to say, is advocating that OHS should  
23                 maintain the status quo with respect to the  
24                 ability to have elective PCI services  
25                 performed in the region as you've described



1           it. Right?

2           A. I would characterize what I'm advocating for,  
3           as is Stamford Health is advocating for -- is  
4           that the State continue to enforce the  
5           already established regulatory requirements  
6           and follow what is prescribed within the  
7           state facilities and services plan.

8           Q. The current state of play in the area in  
9           which Stamford Hospital operates is that  
10          patients who go to Norwalk Hospital and who  
11          otherwise qualify for and need elective PCI  
12          procedures, you're here on behalf of Stamford  
13          Health advocating that those patients  
14          continue to be transferred to some  
15          alternative care center.

16                 Correct?

17          A. I -- I would characterize what I would say is  
18          I advocate that the State continue to follow  
19          the consensus guidelines, which I believe  
20          Dr. Bhalla and Dr. Martin have articulated.  
21          A clinical perspective --

22          Q. Mr. Bailey, excuse me. I didn't ask you  
23          about consensus guidelines. I asked you a  
24          question that simply calls for a yes or a no  
25          answer.

1                   And the question is, is your position on  
2                   behalf of Stamford Health that a patient goes  
3                   to Norwalk Hospital today who otherwise  
4                   medically qualifies to receive elective PCI  
5                   should get transferred to an alternative care  
6                   site that is approved to perform PCI, an  
7                   elective PCI? Yes or no?

8   MR. MONAHAN: I'm going to object. That is a slightly  
9                   different question, and the question has been  
10                  asked and answered.

11   THE HEARING OFFICER: I just want to make sure I'm  
12                   clear. Let me just let Attorney Tucci respond,  
13                   and I just want to make sure I'm clear on the  
14                   objection.

15                  But go ahead, Attorney Tucci.

16   MR. TUCCI: Yeah, Hearing Officer Mitchell. I'm simply  
17                   again attempting to understand the basis for the  
18                   Intervener's opposition.

19                  And I did not ask the Witness a question  
20                   about the Witness' opinion or view regarding  
21                   standards or guidelines, or what have you. I'm  
22                   asking about circumstances relating to the actual  
23                   delivery of healthcare. I don't think that's a  
24                   hypothetical question. I don't think it calls for  
25                   speculation.

1           And it appears I'm having difficulty getting  
2           answers to basic factual questions.

3   THE HEARING OFFICER:   Let me ask Attorney Monahan, how  
4           is the question different?   I think you said that  
5           that was one of your objections.

6   MR. MONAHAN:   Because this Witness is not a clinician,  
7           and this Witness has couched every answer in  
8           relation to that type of factual question with the  
9           basis of his expertise which goes to the policy  
10          and the procedures that surround why patients are  
11          transferred, not purely to the clinical needs.

12           And that question --

13   MR. TUCCI:   (Unintelligible.)

14   MR. MONAHAN:   And that -- let me finish.   And that  
15          question included a hypothetical that the PCI  
16          would be reasonably be able -- would be able to be  
17          performed.   And based on what this Witness has  
18          said, that is not his testimony in light of the  
19          standards that govern elective PCI.

20   MR. TUCCI:   May I be heard on that objection, Hearing  
21          Officer Mitchell?

22   THE HEARING OFFICER:   Yes.

23   MR. TUCCI:   If the position of counsel for Intervener  
24          is that the Witness who's currently under oath and  
25          is testifying, and is not a clinician, and is not

1 qualified to speak about clinical issues relating  
2 to cardiovascular care, then I would move to  
3 strike his prefiled testimony in all areas where  
4 the Witness has given opinions about how to  
5 interpret the professional guidelines of various  
6 societies, and what those standards are, and  
7 expressing opinions as a non-physician about what  
8 appropriate care and safety guidelines are for the  
9 delivery of cardiovascular care.

10 Move to strike.

11 MR. MONAHAN: Well, I'm told I can be heard.

12 THE HEARING OFFICER: Go ahead. Go ahead, Attorney  
13 Monahan.

14 MR. MONAHAN: Ms. Mitchell, we all know that this  
15 application involves clinical and nonclinical  
16 expertise. It involves public policy, legislative  
17 issues, administrative action, cost savings across  
18 the board.

19 Not only doctors are qualified to testify in  
20 this proceeding, and indeed I don't know how many  
21 physicians, with all due respect, are sitting on  
22 the OHS panel. So if that question was if that  
23 objection had any merit then we would only have to  
24 have physicians listening to this and presiding  
25 over this hearing.

1           This Witness has every right to testify. If  
2           Mr. Tucci wants to hear the basis for his, this  
3           Witness' opinion, why doesn't he just say, please  
4           give me the basis for your opinion?

5   THE HEARING OFFICER: What about the motion to strike  
6           all of his prefiled testimony that relates to --

7   MR. MONAHAN: I object to that strenuously. It would  
8           be an egregious error, and it would be -- I think  
9           an absolute injustice.

10   THE HEARING OFFICER: So I'm just going to say with  
11           regard to the motion to strike, I mean, this is an  
12           administrative hearing. So when we look at the  
13           record we weigh all of the evidence accordingly.

14           And with regard to the objection, I'm going  
15           to allow Attorney Tucci to just go ahead and ask  
16           the question once more. And then I'm going to ask  
17           the Witness just respond to the question as  
18           directly as possible.

19   MR. TUCCI: Thank you. Mr. Bailey, I'll try to state  
20           the question as simply as possible.

21   BY MR. TUCCI:

22           Q. Is it Stamford Health's position that  
23           patients who otherwise receive care today at  
24           Norwalk Hospital and who qualify for elective  
25           PCI should continue to be required to go to

1 alternative care sites to get that care?

2 A. Yes, our position is that they should  
3 continue to follow the established  
4 guidelines.

5 Q. In your Prefiled testimony you generally  
6 speak about the Norwalk Hospital CON proposal  
7 and it's potential impact or threat to the  
8 existing four, four full-service programs in  
9 the region as you defined it.

10 Is it your opinion that the Norwalk  
11 Hospital CON request threatens the ability of  
12 the four regional programs we've discussed to  
13 continue to meet their PCI volume thresholds?

14 A. Can you point me to just -- just to point  
15 me where you're at in my prefiled testimony  
16 so I can refresh my memory where you're  
17 reading from?

18 Q. You can take a look -- I wasn't reading, but  
19 you can take a look at page 13 of your  
20 prefiled testimony.

21 A. Sure. Okay.

22 And I hate to ask you to restate the  
23 question. I was combing through my paper  
24 just reviewing that.

25 Q. Well, sure. Why don't you focus on page 13,

1 Mr. Bailey. And you have a chart there.

2 Right? And right below the chart I'll read  
3 portions of your prefiled testimony.

4 Partially quoting, quote, the only way  
5 for Applicant to achieve its projected  
6 volumes is to divert patients from existing  
7 providers already serving the market.

8 There you're referring to the four  
9 system programs that you identified earlier  
10 in your testimony. Correct?

11 A. That's correct.

12 Q. And then later on in your written remarks you  
13 have a sentence that begins, recent efforts  
14 to increase elective PCI programs.

15 Do you see that sentence?

16 A. Yes, that's correct.

17 Q. And you go on to state in that sentence that  
18 these efforts to expand elective PCI, quote,  
19 all -- among other things, quote, all  
20 threaten the ability of existing programs to  
21 continue to meet PCI volume thresholds, end  
22 quote.

23 Have I read that accurately?

24 A. You have.

25 Q. And so my question is, is it your testimony

1           that the Norwalk Hospital CON request raises  
2           a serious threat to the ability of Stamford  
3           Hospital, Danbury Hospital, Bridgeport  
4           Hospital, St. Vincent's Hospital to continue  
5           to meet what you believe to be adequate PCI  
6           volume thresholds?

7           A.    I believe that based on the fact that the  
8           market has already seen declines, as I stated  
9           in my written testimony and as I gave in my  
10          introductory comments, and the fact that  
11          there is a continued projection of decline in  
12          the service area that we know for at least  
13          the Norwalk Hospital service area -- that  
14          yes, the only way for those volumes to be met  
15          would be to have a declining impact, a  
16          negative impact to volumes that are going to  
17          other facilities within -- within this  
18          30-mile radius.

19          Q.    Do you mean that you believe approval of this  
20          CON would pose a threat to those four  
21          programs to meet minimum volume thresholds?

22          A.    So I -- I believe that the question you're  
23          asking me would cause me to speculate about  
24          what exactly -- how those volumes would go  
25          and the total number of cases by certain



1 geographic regions, by certain hospitals.

2 So I'm not sure I can answer your  
3 question with a, cause them to go below the  
4 threshold number.

5 But what I can answer for you is, that  
6 yes, I do believe it would have negative and  
7 adverse impacts on their volumes, and it  
8 could potentially impact there, their overall  
9 threshold volumes.

10 Q. So even though -- so you can't speculate, but  
11 you believe that potentially could impact.

12 Correct?

13 A. I believe I answered the question on that,  
14 yes.

15 Q. All right. Let's turn to some numbers,  
16 please. Please look at the CON application  
17 page 15 and 16?

18 A. Just allow me, if I can, to get that  
19 application, because I don't have it in front  
20 of me?

21 MR. MONAHAN: Can you read me the pages of the  
22 application?

23 MR. TUCCI: CON application pages 15 and 16.

24 THE WITNESS (Bailey): I have them in front of me.  
25

1 BY MR. TUCCI:

2 Q. All right. If I could just direct your  
3 attention to the bottom of page 15 and then  
4 over to the top of page 16. I want to ask  
5 you a few questions about the data that  
6 appear there.

7 A. Yeah, I've got it. Yeah, I've got it.

8 Q. So at this portion of the application Norwalk  
9 Hospital has listed patient transfer data for  
10 a period of August 1, 2019, to March 19th of  
11 2020 for patients that were transferred from  
12 Norwalk Hospital because they required some  
13 type of follow-up cardiac clinical care.

14 Do you see that?

15 A. I do see that.

16 Q. And the data that Norwalk Hospital presented  
17 showing that during that seven-month or so  
18 period, 13 patients who presented to Norwalk  
19 Hospital ended up being transferred to  
20 Bridgeport Hospital, which is part of the  
21 Yale system.

22 Right?

23 A. I see that noted here.

24 Q. And to state the obvious, Bridgeport Hospital  
25 in the Yale system have not intervened to

1                   oppose this CON application. Right?

2           A.    I -- I believe that to be factually true  
3                   based on what Hearing Officer Mitchell opened  
4                   up with her comments.

5           Q.    And the data further show that during that  
6                   seven-month period there were 55 patients who  
7                   were required to go to St. Vincent's  
8                   Hospital, or who elected to go to  
9                   St. Vincent's Hospital because they couldn't  
10                  get cardiac care at Norwalk Hospital.

11                         And you would agree with me as a matter  
12                         of fact that St. Vincent's as part of the  
13                         Hartford Health system did not request  
14                         intervener status to oppose Norwalk  
15                         Hospital's request for elective PCI.

16                         Correct?

17           A.    I -- I honestly can't speak whether they  
18                   requested it, but I -- I do know that they  
19                   were not granted an intervener status based  
20                   again on what Hearing Officer Mitchell  
21                   stated.

22           Q.    Okay. And during the same seven-month time  
23                   period a total of six patients who could not  
24                   receive follow-up coronary cardiovascular  
25                   care at Norwalk Hospital ended up going to

1                   Stamford Hospital. Right?

2           A.    I see that's what's stated here, yes.

3           Q.    One of the things that you have talked about  
4                   is the PCI procedure data that has been the  
5                   subject of this application, and you -- you  
6                   included some information concerning Stamford  
7                   Hospital's experience with PCI procedures in  
8                   your prefiled testimony.

9                   Correct?

10          A.    I'm not sure I know exactly what question  
11                   you're asking about. What we've cited in our  
12                   prefiled testimony about Stamford Hospital's  
13                   procedure volume?

14          Q.    Okay. Well, I'm asking about your prefiled  
15                   testimony and --

16          A.    Yeah, yeah.

17          Q.    And in particular to assist you, I'd ask you  
18                   to go to page 12 of the testimony you  
19                   submitted?

20          A.    Okay.

21          Q.    And you put a chart in your prefiled  
22                   testimony at the top part of the page which  
23                   you've described with the label, regional PCI  
24                   trends. Do you see that?

25          A.    I do.

1 Q. And it shows for example in fiscal year 2019  
2 that the total inpatient and outpatient PCI  
3 procedures done at Stamford hospital were  
4 477. Right?

5 A. I would -- I would agree with you, yes.

6 Q. And you also reported for fiscal year 2020 a  
7 total of your inpatient and outpatient PCI  
8 procedures at 388. Right?

9 A. Yes.

10 Q. And 2020 was the year that all of us were  
11 required to stay home starting in March when  
12 the pandemic hit. Do you agree with that?

13 A. I do agree that was when the pandemic hit.

14 Q. All right. And so if we look back at the  
15 experiential data from the seven-month period  
16 that we talked about earlier in terms of  
17 patients from the Norwalk service area, from  
18 August of 2019 to March of 2020, you agree  
19 with me that there were a total of six  
20 patients who ended up going to Stamford  
21 Hospital for some form of further  
22 cardiovascular care.

23 Right?

24 A. That's correct.

25 Q. And as a matter of simple math, if that

1           experiential data was consistent throughout  
2           the course of time, the reduction of six or  
3           ten, or twelve PCI procedures coming from the  
4           Norwalk service area would not have in any  
5           way a material impact on Stamford Hospital's  
6           ability to maintain a high-quality PCI  
7           intervention program.

8                        Would you agree with that?

9           A.    The way I answer you question is --

10          Q.    Well, I asked you -- I'm sorry, sir.

11                       I asked you a very simple question that  
12           is based on the numbers that we've all just  
13           talked about. And so I'm asking you very  
14           simply, do you agree, yes or no, that a  
15           reduction going forward of as many as a dozen  
16           cases, let's just say, from what your  
17           existing volume trends are for PCI would not  
18           have a materially adverse effect on your  
19           health systems' ability to maintain volume  
20           thresholds?

21   MR. MONAHAN: May I object to the interruption of the  
22           Witness' answer -- and allow the Witness to answer  
23           as he sees best to answer that question?

24   THE HEARING OFFICER: I'm going to direct him to answer  
25           the specific question yes or no. If there's any

1 followup, then Attorney Monahan, you can make that  
2 followup.

3 MR. MONAHAN: Okay. Thank you.

4 THE WITNESS (Bailey): So to answer your question based  
5 on the math you presented, then no. That would  
6 not have a material impact based on the math you  
7 presented.

8 MR. TUCCI: All right. I'm now trying to move along  
9 here, but I want to cover some of the other sort  
10 of highlighted areas that I understood from your  
11 written prefile and your remarks under oath here  
12 today.

13 BY MR. TUCCI:

14 Q. And as I understand it, a fair  
15 characterization of one of the other concerns  
16 that you have raised is that the Office of  
17 Health Strategy should be concerned about a  
18 declining PCI volume and what you  
19 characterize as the region.

20 And for purposes of our discussion we'll  
21 talk about the region meaning the four  
22 full-service programs that we talked about  
23 earlier. Am I right that that's one of the  
24 concerns that you raised?

25 A. It is absolutely correct.

1 Q. Okay. Do you believe that within a  
2 geographic -- have you seen, do you believe  
3 that within a geographic region that there  
4 may be factors that apply to particular  
5 institutions, or a particular location within  
6 that region that could influence procedure  
7 volume in a way that may be different when  
8 you look at the region as a whole?

9 A. I -- I'm sorry. I have no idea what the  
10 question actually -- is trying to ask me to  
11 provide a opinion on it.

12 Q. All right. Okay. Let's look at your chart  
13 on page 12, sir.

14 Do you have it in front of you?

15 A. I do.

16 Q. You've defined the region that you would like  
17 OHS to focus on to be comprised of  
18 Bridgeport, Danbury, St. Vincent's and  
19 Stamford Hospital's. Correct?

20 A. That's correct.

21 Q. You've shown for fiscal years 2016 through  
22 fiscal year 2020 what the actual volume  
23 numbers are for PCI for those different  
24 institutions. Correct?

25 A. Yes.



1 Q. And you're asking OHS to draw conclusions  
2 about what you believe are regional trends  
3 shown by that PCI volume. Correct?

4 A. I believe what I'm trying to do here is to  
5 demonstrate that there is a decline that has  
6 been noted here that falls in line with what  
7 has also has been projected in the state as  
8 well as other national trends.

9 Q. When you look at the region as a whole.  
10 Correct?

11 A. Yes, when we look at the region whole --  
12 holistically here I think we've -- we've  
13 cited the -- I've cited the percentage  
14 decreases.

15 Q. All right. Now, sir, I'd ask you to look at  
16 your chart at the top line for Bridgeport  
17 Hospital.

18 Do you have that data in view?

19 A. I do.

20 Q. Would you agree with me that for fiscal year  
21 2016 Bridgeport Hospital reported a total PCI  
22 inpatient/outpatient volume of 288?

23 A. That's correct.

24 Q. In fiscal year '27 [sic] Bridgeport Hospital  
25 had a total PCI volume of 349. Correct?

1           A.     That's correct.

2           Q.     In 2018 Bridgeport Hospital reported a total  
3                   inpatient/outpatient PCI volume of 390.  
4                   Correct.

5           A.     Correct.

6           Q.     In 2019, for that fiscal year Bridgeport  
7                   Hospital reported a total  
8                   inpatient/outpatient PCI volume of 489.

9                   Correct?

10          A.     Yes, that's correct.

11          Q.     So from 2016 to the four-year period ending  
12                   in 2019 is a matter of simple mathematics,  
13                   sir, do you agree that the PCI volume at  
14                   Bridgeport Hospital part of the region that  
15                   you've defined increased by 200 cases?

16          A.     I would agree it's increased by 201  
17                   increases, as I reported.

18          Q.     Thank you.

19                   Moving along, sir, again I think one of  
20                   the sort of major topic areas that you  
21                   presented was a concern about the granting of  
22                   the CON application potentially having an  
23                   adverse effect on the financial strength of  
24                   what I think you characterized in your  
25                   prefiled testimony at page 6 as the overall

1 healthcare system in the state.

2 Is that, in fact, a concern that you  
3 have expressed to the Office of Health care  
4 Strategy?

5 A. Yes, it is in fact a concern.

6 Q. Can you point me to any data in the 13 pages  
7 of your prefiled testimony that shows how  
8 allowing elective PCI at Norwalk Hospital  
9 will jeopardize the financial health of any  
10 Hospital in Connecticut?

11 A. I -- I do not have any, any data in my --  
12 that points to an impact on a hospital, but I  
13 do believe and what my point is here is that  
14 the impact is to the statewide health system.

15 And when we increase operating expenses  
16 as stated and proposed by Norwalk Hospital  
17 here at 1.08, 1.3 and 1.6 million; anytime a  
18 healthcare system increases costs in their  
19 operating basis or capital, it has a  
20 deleterious effect on the overall cost of the  
21 healthcare system holistically.

22 Those costs are passed on elsewhere and  
23 it has impacts that are oftentimes hard to  
24 immediately define.

25 Q. All right. Mr. Bailey, I'm a little confused

1 by that. I'm not a chief operating officer,  
2 but I did note that you noted that if this  
3 CON were approved that Norwalk Hospital would  
4 experience some additional cost. That's the  
5 point you were making. Correct?

6 A. That's the point I am calling out that was  
7 based in their worksheet that they submitted.

8 Q. Right. And that would be the costs  
9 associated with providing more services to  
10 patients than Norwalk was previously allowed  
11 to provide because of CON restrictions.

12 Right?

13 A. That's correct.

14 Q. So presumably if Norwalk Hospital is  
15 providing services that it was previously not  
16 allowed to provide, you would agree with me  
17 as a basic elementary manner they would be  
18 able to charge for those services, at least a  
19 portion of it?

20 MR. MONAHAN: Object to the form.

21 THE HEARING OFFICER: Attorney Tucci?

22 MR. TUCCI: Yes?

23 THE HEARING OFFICER: I just wanted to see if you had  
24 any response to the objection.

25 MR. TUCCI: No, I don't, because I think it's fairly

1 clear that when -- the question I'm asking the  
2 Witness who is -- I think has financial expertise,  
3 is that you're investing cost and providing  
4 services, the idea is you're going to generate  
5 revenue and revenue offsets cost.

6 THE HEARING OFFICER: Attorney Monahan, any response?

7 MR. MONAHAN: Well, I believe that Attorney Tucci  
8 introduced the concept of charges, which was not  
9 the thrust of what the testimony was, and what the  
10 answer to the question was. So I think the thrust  
11 of the questions that led up to that and the  
12 answers dealt with increased costs for services  
13 that would be duplicating others.

14 So I think there -- I think that there was --  
15 the charge is, I believe, was an inappropriate  
16 form of that question and followup to the line of  
17 questioning that is being presented.

18 MR. TUCCI: Hearing Officer Mitchell, I'm sorry. I'm  
19 trying to really make this as simple as possible.  
20 The Witness testified about cost, and that we  
21 reported that there would be increased cost.

22 I'm simply asking a basic elemental question  
23 about the concept of increased costs associated  
24 with allowing more procedures to be done, and if  
25 more procedures are being done, therefore revenue

1 is generated. I don't think that's a  
2 controversial concept or one that's hard to  
3 understand.

4 THE HEARING OFFICER: All right. I'm going to allow  
5 it.

6 THE WITNESS (Bailey): Would you mind restating your  
7 question?

8 MR. TUCCI: You know what? I'm going to move on.

9 BY MR. TUCCI:

10 Q. All right. Now I am going to spend some time  
11 on this next topic, Mr. Bailey, because I  
12 think it's one that you have provided some  
13 extensive discussion around. And that's the  
14 issue of volume projections. Right?

15 You would agree that the substance of  
16 your testimony here today is that you would  
17 like OHS to conclude that the projected  
18 volume figures that Norwalk Hospital has  
19 presented are not backed up by what you  
20 describe as empirical evidence. I believe  
21 you use that term at page 10 of your prefiled  
22 testimony.

23 A. Yes, that's correct.

24 Q. That is right?

25 A. That's correct.

1 Q. All right. And I assume as part of your  
2 preparation for coming here today to testify  
3 you reviewed Norwalk Hospital's response to  
4 the OHS hearing issues which set forth  
5 information concerning recent utilization.

6 Did you review that?

7 A. I did.

8 Q. And in reviewing that you would agree with  
9 me, would you not, that those responses  
10 reported empirical information for fiscal  
11 year 2021, actual to date and projected  
12 showing annualized volume of 108 cardiac cath  
13 cases and 82 primary PCIs?

14 That's what the empirical information is  
15 that was set forth in the response that my  
16 client submitted to OHS.

17 Would you agree with that?

18 A. Before I answer your question I'd just like  
19 to be able to be able to point you to the  
20 information so that I, as being under oath as  
21 you pointed out, I answer it correctly.

22 Q. Sure.

23 A. So you're referring to the table Norwalk  
24 Hospital cardiac cath, the piece how the  
25 cases trend. I don't have a page number on

1                   it -- where it has FY '21 annualized 108 plus  
2                   92 adds up to 190?

3           Q.     Yes.

4           A.     I see that.

5   MR. TUCCI:   Hearing Officer Mitchell, if you could just  
6                give me a moment? I need to locate another  
7                document.

8   THE HEARING OFFICER:   Absolutely.

9   THE WITNESS (Bailey):   I'm going to take a moment to  
10               get a drink of water if that's okay?

11   THE HEARING OFFICER:   That's okay.

12  
13                   (Pause: 12:35 p.m. to 12:37 pm.)  
14

15   THE HEARING OFFICER:   I'm just going to note that my  
16                colleague Brian Carney was having some technical  
17                problems, and he is trying to assess the hearing  
18                again.

19                So I'm just going to ask that we wait until  
20                he is back because he controls a lot of the  
21                functions related to muting and monitoring  
22                individuals who want to speak when I can't see  
23                them. So I'm just going to ask that we hold on  
24                just for another minute or two until he's back.  
25



1 (Pause: 12:37 p.m. to 12:41 pm.)

2  
3 THE HEARING OFFICER: Okay. So we can go ahead and  
4 resume. I'm just thinking before you continue  
5 with your questions, I'm wondering if both counsel  
6 would be amenable to taking a break at one  
7 o'clock?

8 MR. TUCCI: That's perfectly fine.

9 THE HEARING OFFICER: Okay. I just didn't want to  
10 interrupt your flow if you wanted to continue on.

11 But is that okay, Attorney Monahan?

12 MR. MONAHAN: Absolutely okay.

13 THE HEARING OFFICER: Okay.

14 MR. TUCCI: Hearing Officer Mitchell, I'm ever the  
15 internal optimist. I only have a little bit  
16 longer for Mr. Bailey.

17 THE HEARING OFFICER: Okay.

18 MR. TUCCI: I was thinking I might be able to wrap up  
19 the last cross-examination. I'm not sure I can do  
20 it precisely by one.

21 So maybe what makes the most sense to do is  
22 just finish with Mr. Bailey and then take a break  
23 when we're done with him. And if that's  
24 acceptable?

25 THE HEARING OFFICER: That works for me. What about

1           you, Attorney Monahan?

2   MR. MONAHAN: That works for me, too.

3   THE HEARING OFFICER: Okay. All right. So you can  
4       proceed when you're ready.

5   MR. TUCCI: Thank you.

6       BY MR. TUCCI:

7           Q. All right, Mr. Bailey. We're back.

8           A. Yes, we are.

9           Q. And we were chatting before the break about  
10          the data, empirical information presented in  
11          Norwalk Hospital's responses to the OHS  
12          public hearings list -- public hearing  
13          issues, and I'd ask you just to go back to  
14          that page.

15                 And I want to direct your attention to  
16          the graph pertaining to Danbury Hospital  
17          cardiac cath and PCI case trends.

18                 Do you see that?

19          A. I do see that.

20          Q. And this is a particular set of data reported  
21          for fiscal years '17 through '20, and then  
22          fiscal year '21 for approximately the first  
23          six months. Right?

24          A. That's what it states, yes.

25          Q. Right. And of course you know that Danbury

1 Hospital is approved to provide both primary  
2 PCI services to patients, but also elective  
3 PCI services to patients. Right?

4 A. I do know that.

5 Q. And looking at the various data that's  
6 reported as Danbury Hospital's actual case  
7 experience, I want to go through each of the  
8 fiscal years with you and look at primary PCI  
9 and elective PCI in each of these years and  
10 talk to you about what that empirical  
11 information shows.

12 So focusing your attention on fiscal  
13 year 2017 you would agree with me that  
14 Danbury Hospital reported 88 primary PCI  
15 cases in 2017, and a total of 329 elective  
16 PCI cases in that same fiscal year. Correct?

17 A. Yes, I see that written in the chart there.

18 Q. So in looking at the relationship between the  
19 number of primary cases versus the number of  
20 elective cases, there are about four times as  
21 many elective cases. Right?

22 A. I am following that simple math, yes.

23 Q. Okay. And for 2018 we'll do the same thing.  
24 Do you see that Danbury Hospital reported 63  
25 primary procedures and a total of 302

1 elective procedures?

2 And you would agree with me that the  
3 ratio there is approximately five times as  
4 many elective cases as primary PCI cases.

5 Right?

6 A. I am following your math, yes.

7 Q. And for 2019 the data show that Danbury  
8 Hospital's actual experience was 79 primary  
9 PCI procedures for patients, as compared with  
10 367 elective PCI procedures performed on  
11 patients in that fiscal time period.

12 And again, we're talking roughly about  
13 five times as many elective cases as primary  
14 cases. Right?

15 A. You're on FY '19?

16 Q. Yes.

17 A. Yes, I would. That's probably more around  
18 four times that volume, but yes.

19 Q. I apologize. I'll go with your rounded  
20 number. Agreed.

21 And again, to complete the exercise with  
22 regard to the fiscal year 2020, what that  
23 data show is that Danbury Hospital performed  
24 primary PCI procedures on 76 patients, as  
25 compared with elective PCI procedures for a

1 total of 339 patients.

2 And again, we're roughly in that  
3 approximate four times ballpark right?

4 A. Yes. I'm following your math, yeah.

5 Q. Do you believe that the trending data for  
6 Danbury Hospital showing the relationship  
7 between the number of primary PCIs and  
8 elective PCIs roughly mirrors the experience  
9 that you note to be the case at Stamford  
10 Hospital?

11 A. I have not done the math to do a comparative  
12 analysis. I cannot answer your question.

13 Q. Well, have you, in getting ready for this  
14 hearing that we're here for today, did you  
15 take a look at what Stamford Hospital's  
16 breakdown was in terms of the number of  
17 primary cases versus elective cases?

18 MR. MONAHAN: Asked and answered.

19 MR. TUCCI: No, it hasn't been asked and answered.

20 It's the first time I've asked the question,  
21 Hearing Officer Mitchell.

22 THE HEARING OFFICER: I'm going to allow for it.

23 THE WITNESS (Bailey): So certainly we looked at our  
24 most recent data of elective PCIs, and we've also  
25 looked at our primary PCIs, as we do on a regular

1 basis as just doing business.

2 I have not done the math. I would not be  
3 able to cite, you know, what I believe was your  
4 original question, was whether this follows a  
5 similar trend. Quite frankly, it simply did not  
6 do the math to know if that is the case.

7 BY MR. TUCCI:

8 Q. That's fine. Let me break it down a little  
9 bit more. Let's go.

10 Let's go to page 12 of your prefiled  
11 testimony.

12 Do you have it in front of you?

13 A. I do.

14 Q. You reported data for Stamford Hospital in  
15 the chart. Correct?

16 A. That is correct.

17 Q. And the data you reported concerned the  
18 actual performance of PCI procedures in  
19 Stamford Hospital, for example, in fiscal  
20 year 2017?

21 A. Correct.

22 Q. Right? You reported it and you reported it  
23 based on whether the procedure was done  
24 inpatient or outpatient, but nevertheless you  
25 reported a total number of PCI procedures

1 performed at your institution at 592.

2 Correct?

3 A. That is correct.

4 Q. How many were elective? And how many were  
5 primary?

6 A. I -- I do not have that answer. I don't have  
7 that, that information.

8 Q. When you're sitting in Stamford Hospital do  
9 you have that data available?

10 A. I do not have it in front of me at the  
11 moment.

12 Q. Where it is?

13 MR. MONAHAN: Hearing Officer, if there would be a  
14 request for a late file we certainly can prepare  
15 it, but we do not have it here in front of us.

16 THE HEARING OFFICER: If they don't have it, you know  
17 they can't produce it at this moment. Maybe we  
18 will file a request for a late file, but that is  
19 going to be up to me after I determine what we  
20 need from the team.

21 I'm going to ask that we move on.

22 MR. TUCCI: So I'll continue.

23 BY MR. TUCCI:

24 Q. So Mr. Bailey, you've indicated that in  
25 getting ready for today's hearing you didn't

1 do the math in terms of a breakout between  
2 the number of primary cases done at Stamford  
3 Hospital versus the number of cases done  
4 electively for percutaneous coronary  
5 intervention. Right?

6 A. That is how I answered that question, and  
7 there's no reason for which I would do that  
8 calculation.

9 Q. Have you ever been involved in or done a  
10 similar calculation in the past?

11 A. I -- can you -- are you speaking about PCI  
12 procedures? Or are you just talking about  
13 doing a ratio?

14 Q. Yeah. No, it's very simple. I don't mean to  
15 overcomplicate this. My question is very  
16 simple.

17 At any time in the past have you ever  
18 been involved in, or do you know of any  
19 existing breakdown showing in a fiscal year  
20 how many primary PCI cases Stamford Hospital  
21 did and how many elective PCI cases Stamford  
22 Hospital did?

23 A. I have not -- I have been in any previous  
24 conversation where we calculated a ratio of  
25 what our PCI is. I've never -- I have not



1           seen any data in front of me to their doing  
2           that -- or doing this calculation. We have  
3           no, again -- we go back to we don't have  
4           basis on why we would do that calculation.

5           Q. All right. You obviously agree with me that  
6           you did participate in and testify as an  
7           intervener in opposing the Greenwich Hospital  
8           CON for elective PCI. Correct?

9           A. That's correct.

10          Q. And in your, in Stamford's Health's capacity  
11          as an intervener in the Greenwich Hospital  
12          CON request for elective PCI, Stamford  
13          Hospital submitted a late file in that  
14          proceeding showing a breakdown in 2017 of  
15          primary versus elective PCI procedures,  
16          showing that you did six times as many  
17          elective PCIs as primary.

18                 Are you aware of that?

19          A. I don't have my -- I don't have my  
20          prefiled test -- or the testimony or the  
21          transcript in front of me from that hearing.  
22          So --

23          Q. Are you aware that in 2018 Stamford's  
24          Hospital experience was that it did 51  
25          primary PCIs and 335 elective PCIs, or six

1 times as many elective as primary?

2 A. Again, I don't have the data in front of me.  
3 So it's impossible for me to be able to  
4 answer your question. I'm sorry.

5 Q. Are you aware in 2019 Stamford Hospital  
6 reported doing an actual number of 65 primary  
7 PCIs, and a total of 337 elective PCIs, or  
8 approximately five times as many elective  
9 procedures as primary?

10 MR. MONAHAN: Hearing Officer, may I just? And I'm not  
11 doubting what is being read, but can we just --  
12 can I just understand what it is that Attorney  
13 Tucci is reading from so that we can understand  
14 where the numbers are coming from?

15 MR. TUCCI: I'm reading from --

16 THE HEARING OFFICER: I believe this -- oh, go ahead.

17 MR. TUCCI: Hearing Officer, I apologize. I didn't --

18 THE HEARING OFFICER: No, no, no. I believe that this  
19 is from the Greenwich hearing, prefiled testimony  
20 from that -- but go ahead, Attorney Tucci.

21 MR. TUCCI: Yes, your understanding is correct, Hearing  
22 Officer Mitchell.

23 THE WITNESS (Bailey): So again, Attorney Tucci, I  
24 don't have the information in front of me on any  
25 of the years that you might cite. So it's

1 impossible for me to answer your question.

2 BY MR. TUCCI:

3 Q. What about fiscal year 2020, last year? Do  
4 you know what those numbers were?

5 A. I do not.

6 Q. Well, let me refresh your memory.

7 In 2020 your institution reported doing  
8 54 primary PCIs and 255 elective PCIs, again  
9 approximately five times as many elective  
10 procedures as primary procedures. You don't  
11 recall that?

12 A. I don't recall the specifics of the data.

13 MR. TUCCI: I have no more questions for this Witness.

14 THE HEARING OFFICER: All right. So let me just ask --

15 MR. MONAHAN: May I have --

16 THE HEARING OFFICER: Oh, go ahead. Was that you,  
17 Attorney Monahan?

18 MR. MONAHAN: Yes. I apologize. I was raising my  
19 hand.

20 THE HEARING OFFICER: No, that's okay. That's okay.

21 MR. MONAHAN: Do I have the opportunity to just ask a  
22 couple of questions on redirect?

23 THE HEARING OFFICER: Yes.

24 MR. MONAHAN: Thank you.

1 REDIRECT EXAMINATION (Bailey)

2  
3 BY MR. MONAHAN:

4 Q. Mr. Bailey, there were a number of questions  
5 about the charts in your testimony, your  
6 prefiled testimony in this matter on page 12  
7 in connection with the regional PCI trends.

8 Do you recall that line of questioning  
9 where I made an objection, it was overruled  
10 and then you were asked to answer the  
11 question?

12 A. I do recall.

13 Q. Was there a point during that line of  
14 questioning that you had any reason to  
15 describe something greater than what was in  
16 that chart in the section seven as a whole?

17 A. Yeah. So I believe what I was trying to get  
18 to, section seven which really speaks to the  
19 aspects of the full-service cardiovascular  
20 programs in a declining market is when we --  
21 it's impossible to really separate out all  
22 the full-service programs in and of itself.

23 And then when you're looking at multiple  
24 full -- multiple hospital systems applying  
25 for bringing in low-volume PCI programs

1           without the on-site cardiac surgery, it's  
2           impossible to fully comprehend the ripple  
3           effect that could occur in a situation where  
4           there would be deleterious effects on the  
5           volumes at hand. And so while --

6 MR. TUCCI: Objection. Move to strike. This Witness  
7           is not qualified to give that testimony. It's  
8           pure speculation. He's offering an opinion  
9           without any qualification or basis to give it.

10           He's not a cardiac expert. He's now giving a  
11           prediction or an evaluation, or an opinion that  
12           could only be given by an expert in the field.

13           Move to strike it.

14 THE HEARING OFFICER: Attorney Monahan?

15 MR. MONAHAN: Attorney Mitchell, with that motion to  
16           strike if that's the basis for a motion to strike  
17           there's nearly every single written prefiled  
18           testimony that will receive a similar motion on  
19           the Applicant's side.

20           This is a chief operating officer of Stamford  
21           Health care. He crosses the lines between  
22           clinical data analysis, financial data analysis,  
23           market analysis, and he receives information from  
24           a number of different experts. This is not a  
25           trial where there has been a designated expert on

1 a particular minute narrowminded scope.

2 So the fact that I have asked this Witness to  
3 embellish on the testimony that he has presented  
4 to you in my view is fair for you to hear based on  
5 his experience in his role at Stamford Health.

6 MR. TUCCI: Hearing Officer --

7 THE HEARING OFFICER: I'm going to allow it. No, I'm  
8 going to allow it briefly. As this is an  
9 administrative hearing, you know, I do -- we're  
10 going to look at all of the evidence and I'll give  
11 it the appropriate weight based on everything we  
12 hear. So I just want to hear what he has to say.

13 THE WITNESS (Bailey): Thank you, Hearing Officer  
14 Mitchell.

15 So just to wrap up my comment on that, is  
16 when at any point in time in this situation or  
17 others where services are coming in and they are  
18 going to be duplicative, or areas where multiple  
19 systems are coming in on an effort, and now we've  
20 got services that are already at commercial volume  
21 objectives; those will have a compounding factor  
22 on them that will have a negative impact on  
23 healthcare organizations -- and I'll keep it as a  
24 broad aspect.

25 There are four already existing programs in

1       this geographic region that provide these  
2       services. And they will have, based on previous  
3       experiences I've seen as these types of things  
4       play out, where they will have a negative impact  
5       on their volumes. And that can have -- I just  
6       want to cite this example. I do have it in my  
7       written testimony, so it's not new information.

8               But we have a type of program under an aspect  
9       relative to CMS's national coverage decision. We  
10      are to retain a 300 volume, PCI minimum volume.  
11      So there are aspects that may not be on the  
12      forefront awareness of these types of impacts, but  
13      as an organization why we are so concerned,  
14      reducing our volume may have downstream impacts  
15      that may not be overly apparent when looking at it  
16      at just the surface.

17   MR. MONAHAN: Thank you.

18   THE HEARING OFFICER: Followup, Attorney Monahan?

19   MR. MONAHAN: I have no other questions of the Witness.

20   MR. TUCCI: Recross, please, Hearing Officer Mitchell?

21   THE HEARING OFFICER: Yes, very briefly.

22   MR. TUCCI: Yes, very briefly. And following up just  
23      on the point that the Witness was making, Hearing  
24      Officer Mitchell.

**RECROSS-EXAMINATION (Bailey)**

BY MR. TUCCI:

Q. Mr. Bailey, please look at page 12 of your  
prefiled testimony?

A. I have it in front of me.

Q. In page 12 of your testimony you present some projections by the healthcare consulting group called SG2. Correct?

A. I do.

Q. And the projections that you present are SG2's estimates regarding projected PCI volume going forward for the primary service towns of New Canaan, Norwalk, Weston, Westport and Wilton.

Right?

A. That's correct.

Q. And you show what the actual PCI volume is in 2019, and you show what SG2 projects the PCI volume to be going out a five-year period or so to 2024. Right?

A. That's correct.

Q. And for those four towns what you're consulting expert shows is that in 2019 there were a total of 303 PCI cases. Right?



1           A.     That's correct.

2           Q.     And in 2024 your consultant suggests that the  
3                   total volume of PCI cases will be 298.

4                   Correct?

5           A.     That's correct.

6           Q.     A difference of five less.

7                   Sir?

8           A.     Yes, five less.

9   MR. TUCCI:    Thank you.

10   MR. MONAHAN: No other questions.

11   THE HEARING OFFICER: No other questions? Okay.

12                   So it looks like everybody is done with  
13           Mr. Bailey. I just want to make sure we're all  
14           set before we take a break?

15   MR. TUCCI:    Yes. Thank you, Hearing Officer, on behalf  
16           of the Applicant.

17   THE HEARING OFFICER: Okay. And also Attorney Monahan?

18   MR. MONAHAN:  Yes, we are ready to take a break.

19   THE HEARING OFFICER: All right. So we are going to  
20           take a break until 1:45. I'll give everybody the  
21           notice that we're going to go back on around 1:43.

22                   And then for the hearing reporter I'm going  
23           to send you a list of witnesses for both sides.

24  
25                   (Pause: 1:01 p.m. to 1:50 p.m.)

1 MR. TUCCI: Hearing Officer Mitchell, I think we're  
2 back now and ready to proceed.

3 THE HEARING OFFICER: Perfect. So you were going to  
4 ask additional questions of the Intervenor's  
5 witnesses, Attorney Tucci?

6 MR. TUCCI: Yes. I would ask to call Dr. Scott Martin,  
7 please.

8 THE HEARING OFFICER: Okay.

9  
10 CROSS EXAMINATION (Martin)

11  
12 BY MR. TUCCI:

13 Q. Dr. Martin, good afternoon.

14 A. Good afternoon.

15 Q. Can you hear me all right?

16 A. Yes.

17 Q. Okay. Do you have your prefiled testimony in  
18 front of you?

19 A. I do.

20 Q. If you could look at the first page of your  
21 written submission, please?

22 A. Okay.

23 Q. Now one of the things that you say in your  
24 prefiled testimony, I'm just going to read  
25 the quoted language to you. It begins at the

1 bottom of the first page.

2           Stamford Health's interventional  
3 cardiology program offers the latest in  
4 leading-edge minimally invasive approaches to  
5 cardiac care.

6           You strongly believe that to be an  
7 accurate statement. Correct?

8       A. Yes.

9       Q. And you've heard the earlier testimony  
10 concerning the number of patients that have  
11 been treated at least during the seven-month  
12 period from 2019 to 2020 who originate from  
13 the Norwalk Hospital service area.

14           And you'll recall that at least in that  
15 period it was at least about six patients  
16 that ended up actually receiving care at your  
17 institution. Correct?

18       A. If you're referring to the transfers from  
19 their hospital to ours, yes.

20       Q. Yes. And if those patients elected to stay  
21 at Norwalk Hospital because Norwalk Hospital  
22 was permitted to do elective PCI procedures  
23 you would agree that Stamford Hospital is  
24 still going to have a state-of-the-art  
25 interventional cardiology program.

1                   Correct?

2           A.    Yes, I would agree.  I, you know, the  
3                   transfers -- it's been about one per month  
4                   historically for Norwalk for quite a while.

5                   You know, I don't think that taking that  
6                   away would provide any imminent existential  
7                   threat to our program, but -- and I believe  
8                   the application is, you know, positing that  
9                   there would be many more patients getting PCI  
10                  at Norwalk Hospital from those direct  
11                  transfers.

12          Q.    I understand that's your point of view, but  
13                  I'm focusing now on what effect this may or  
14                  may not have on your program, and on Stamford  
15                  Hospital.

16                  And you'd agree with me just as a matter  
17                  of sort of simple reality, which I think  
18                  you've acknowledged, that whether or not that  
19                  that volume from the Norwalk Hospital service  
20                  area is or is not part of your work, Stamford  
21                  Hospital is still going to be doing hundreds  
22                  of PCIs annually.

23                  Right?

24          A.    Well, I think there's two separate issues.  
25                  You know, the patients coming in direct

1 transfer is potentially a lot less than the  
2 patients who end up here from your service  
3 area.

4 If you were counting only the patients  
5 who are direct transfers out of your  
6 hospital, then your PCI per year would be far  
7 less than 200. You're obviously coming up  
8 with patients who are going to get PCI from  
9 somewhere and not -- not just people directly  
10 transferred out.

11 Q. Well, Doctor, that wasn't my question. I  
12 understand. We're going to get to your view  
13 of the volume and the numbers in a minute,  
14 but for right now my question is -- you know  
15 for a fact that Stamford Hospital does  
16 hundreds of primary and elective PCIs  
17 annually.

18 Correct?

19 A. Yes.

20 Q. And you also know for a fact because you've  
21 told me that your experience shows that you  
22 get about one transfer a month of a patient  
23 who originates from Norwalk Hospital primary  
24 service area.

25 Correct?

1           A.    No, one a month, one patient per month from  
2                Norwalk Hospital transfer. I don't know  
3                where the primary service area is. They come  
4                from your hospital.

5           Q.    I understand your point. Okay. I got it.

6                    Am I correct in understanding that the  
7                primary substance of the testimony that  
8                you've offered both in writing and orally  
9                here today is your belief that the Norwalk  
10              Hospital's proposed elective PCI program in  
11              your view has not presented sufficient  
12              information to demonstrate that volume and  
13              quality guidelines that you think apply would  
14              be met.

15                   Is that true?

16          A.    Yeah, that's my view, and -- but it's taken  
17                from the application. The de facto numbers  
18                that are posited are all less than 200 on the  
19                application.

20          Q.    I understand. You're telling us you've  
21                reviewed the application, and based on your  
22                review of the Norwalk Hospital CON  
23                application you believe that the application  
24                fails to present sufficient information to  
25                demonstrate that the applicable professional

1 guidelines for elective PCI without surgical  
2 backup have not been satisfied.

3 That's your view. Right?

4 A. Right.

5 Q. And in writing your prefiled testimony you  
6 took care to attach to your written  
7 submission the different guidelines of  
8 various professional societies and  
9 organizations that in particular you wanted  
10 to bring to the attention of the Office of  
11 Health Strategy.

12 Correct?

13 A. Yes.

14 Q. You included them as exhibits so that they  
15 could be readily referred to by the Hearing  
16 Officer and by OH staff to look at what the  
17 substance of those different guidelines and  
18 standards have said over the years in the  
19 documents that have been promulgated.

20 Right?

21 A. Right.

22 Q. Okay. Do you agree with the statement that  
23 PCI has become widely practiced and is an  
24 integral component of cardiovascular therapy?

25 A. Yes.

1 Q. And in fact, you attached Exhibit C to your  
2 prefiled testimony and that's precisely what  
3 the ACCF/AHA/SCAI 2013 update says on  
4 page 439. Correct?

5 PCI has become a widely practiced and  
6 integral component of cardiovascular therapy.

7 You don't disagree with that?

8 A. Yeah, I -- I'd have to look at it to see if  
9 it says that exactly, but I believe it.

10 Q. All right. Do you agree with the general  
11 proposition that the development of coronary  
12 artery stents has dramatically altered the  
13 practice of coronary intervention, and that  
14 the initial stents available markedly reduced  
15 the need for PCI related emergency coronary  
16 bypass surgery?

17 A. Yes.

18 Q. And that's because that's what the  
19 information is that was also reported in the  
20 2013 report that we referred to earlier.

21 Right?

22 On page 440.

23 A. Yeah, I mean I know it to be true outside of  
24 the guidelines, but -- but yes. I mean,  
25 that's --



1 Q. You don't view that to be a controversial  
2 medical proposition, that the development of  
3 stenting has markedly reduced the need for  
4 emergency coronary bypass surgery. Correct?

5 A. Correct. You know, the development and then  
6 advancement of stenting -- and this is --  
7 this is not news. You know this was in the  
8 'nineties to early 2000s. It's markedly  
9 lower than the need for emergency bypass  
10 surgery.

11 Q. All right. And I want to focus your  
12 attention in particular on the 2013 update  
13 that we've been discussing, the clinical  
14 competence statement that was issued by the  
15 three professional organizations.

16 In particular, I direct your attention  
17 to page 442 of the July 23, 2013, document.

18 A. Okay.

19 Q. Do you see the reference on page 442 that  
20 talks about overall institutional system  
21 requirements?

22 A. Yes.

23 Q. And you are familiar generally, are you not,  
24 with what the overall institutional system  
25 requirements are for a procedural success

1                   when it comes to doing interventional PCI  
2                   procedures?

3           A.    Yes.

4           Q.    And part of what is discussed in the 2013  
5                   competence statement is a reference back to  
6                   the earlier 2011 guidelines that contain some  
7                   recommendations.   Right?

8           A.    Correct.

9           Q.    And those recommendations from the 2011  
10                  statement are summarized on page 442.

11                         Correct?

12          A.    Are you -- you're talking about the bulleted  
13                  bit at the end here?

14          Q.    The three bulleted points that appear at the  
15                  bottom of page 442?

16          A.    Yes.

17          Q.    And the first point of the 2011 guideline  
18                  talks about primary PCI being reasonable in  
19                  hospitals without on-site cardiac surgery as  
20                  long as there's appropriate planning for  
21                  program development that's been accomplished.

22                         Right?

23          A.    Yes.

24          Q.    And of course you're aware that primary PCI  
25                  is currently performed at Norwalk Hospital

1 without on-site cardiac surgery, and that's  
2 because there has been appropriate program  
3 development that's been accomplished to allow  
4 that to occur?

5 A. Yes.

6 Q. Now the second bullet talks about elective  
7 PCI. And it says elective PCI, you know,  
8 could be considered in hospitals that don't  
9 have cardiac surgery backup as long as  
10 there's appropriate planning for program  
11 development that's been accomplished, but  
12 also rigorous clinical and angiographic  
13 criteria that are used for proper patient  
14 selection.

15 That's one of the three guidelines that  
16 we're talking about here in the 2011  
17 document. Right?

18 A. Yes.

19 Q. And you know that the Norwalk Hospital CON is  
20 in excess of 900 pages in length. I assume  
21 you've taken some time to go through it?

22 A. Yes. If you -- if you want to refer to  
23 something specifically I -- I would have to  
24 review it now.

25 But no, I have looked through it.

1 Q. And in your review of the materials submitted  
2 by Norwalk Hospital you would agree, would  
3 you not, that the hospital has specifically  
4 stated what the clinical and patient  
5 selection criteria are that it would propose  
6 to apply to govern selection of patients who  
7 are appropriate for elective PCI?

8 That's in there. Isn't it?

9 A. I believe so, yes.

10 Q. And the 2011 guideline goes on to state,  
11 primary or elective PCI should not be  
12 performed in hospitals without cardiac  
13 surgery backup, without a proven plan for  
14 rapid transport to a cardiac surgery  
15 operating room in a nearby hospital.

16 And you know for a fact that's in place.  
17 Don't you? Because there, there are  
18 appropriate transport guidelines to get  
19 patients from Norwalk to Stamford in the  
20 event that there's a need for cardiac surgery  
21 backup.

22 Correct?

23 A. Yeah. I don't know that there's a plan with  
24 Stamford, because I don't recall ever getting  
25 an emergency surgery patient from Norwalk,

1 but I -- I'm sure there's a plan somewhere.

2 I -- I don't have that.

3 Q. All right. So Doctor, you've expressed a  
4 number of concerns relating to the data  
5 reported with respect to projected PCI volume  
6 going forward, and so on.

7 And as I understand it, the big thing  
8 that you're concerned about is the issue of  
9 whether or not it's reasonable to conclude  
10 that Norwalk Hospital can achieve a minimum  
11 patient threshold of approximately 200 PCIs  
12 on an annual basis.

13 That's the issue that you're most  
14 concerned about. Right?

15 A. Yes.

16 Q. Because the number is stated as one of the  
17 various components of the elements that these  
18 professional societies have identified as  
19 important. Correct?

20 A. Correct.

21 Q. Do you agree with the idea that you also need  
22 to exercise reasonable and appropriate  
23 caution against an overemphasis or  
24 preoccupation with specific volume  
25 recommendations?

1 MR. MONAHAN: I object to the form -- only because I  
2 don't understand. If the Doctor understands it,  
3 he certainly can answer it, but I'm not sure I  
4 understand the question.

5 BY MR. TUCCI:

6 Q. Well, Doctor, do you get what I'm asking you?

7 A. Can you just repeat it?

8 Q. Sure. Do you agree with the concept or idea  
9 that in considering this notion of volume  
10 thresholds for the safe performance of PCI,  
11 that there ought to be an exercise of an  
12 appropriate degree of caution against  
13 preoccupation or overemphasis with specific  
14 volume recommendations?

15 Do you think that's a reasonable  
16 approach to take?

17 A. I don't think so. You know, if you look at  
18 the guidelines they say a minimum volume of  
19 200 PCIs a year to be initiated. And it's  
20 pretty clear that, you know, it goes on to  
21 say a multiple of volume and partial service  
22 PCI centers that use PCI expertise increase  
23 costs, and have not been shown to improve  
24 access.

25 I think it's pretty clear that the 200

1 is not, you know, something to be taken  
2 lightly.

3 Q. I might direct your attention to page 451 of  
4 Exhibit C, the document you attached to your  
5 testimony. Do you have it in front of you?

6 A. I do.

7 Q. The paragraph, the first full paragraph in  
8 the second column of the ACCF/AHA/SCAI  
9 clinical competence statement reads as  
10 follows.

11 Quote, it is the opinion of our writing  
12 committee that the public, policymakers and  
13 payers should not overemphasize specific  
14 volume recommendations recognizing that this  
15 is just one of many factors that may be  
16 related to clinical outcomes, end quote.

17 Have I read that accurately?

18 A. Yes. You know, if you go back to the  
19 paragraph before --

20 Q. Let me direct your attention -- let me direct  
21 your attention?

22 MR. MONAHAN: Hearing Officer?

23 THE HEARING OFFICER: So yeah. I hear you, Attorney  
24 Monahan. I'm going to let you go ahead and make  
25 your objections.

1 MR. MONAHAN: Yeah. I believe there was a selective  
2 sentence the Doctor who is an expert in reading  
3 this was I believe attempting to put that sentence  
4 in a context and was cut off, and I think he  
5 should entitled to answer the question.

6 THE HEARING OFFICER: So here's what I'm going to say  
7 about it. I know that, Attorney Tucci, I didn't  
8 give you an opportunity to respond, but rather  
9 than go back and forth about whether or not he has  
10 the opportunity to do it now, I'm going to give  
11 you the opportunity to follow up with Dr. Martin  
12 after Attorney Tucci asks some questions.

13 So if that's something that you feel that he  
14 needs to bring out and it's something that  
15 Attorney Tucci believes is a yes or no question,  
16 then you can go back and follow up.

17 MR. MONAHAN: Thank you.

18 BY MR. TUCCI:

19 Q. All right. Doctor, let me direct your  
20 attention to, again page 451 which includes  
21 the second full paragraph in that column  
22 which reads, quote, the relative benefit of  
23 mor favorable outcomes in facilities with  
24 higher volumes must be weighed against the  
25 potential decline in access resulting from



1 minimum volume standards for regionalization  
2 of care.

3 Do you disagree with that finding?

4 A. No, I think that's accurate and reasonable.

5 Q. There again, I want to focus on volume  
6 requirements since it appears to be a major  
7 point of your contribution to these  
8 proceedings. Do you think it's reasonable  
9 that when we look at the criteria that the  
10 various professional societies have  
11 identified, that appropriate weight be given  
12 to all of the criteria that have been  
13 identified?

14 A. I -- yeah, can you be more specific? I'm not  
15 sure what you're asking.

16 Q. Yeah. So we talked a little bit ago about  
17 three of the guidelines and requirements, you  
18 know, patient selection, rigorous patient  
19 selection, appropriate policies and  
20 procedures. Those, those are important as  
21 well. Aren't they?

22 A. Certainly.

23 Q. It would be a challenge to have a safe  
24 elective PCI program without surgical backup  
25 if you didn't have really good patient

1 screening to make sure you were only doing  
2 elective PCI on the proper patients at a  
3 facility without immediate surgery backup.

4 Right?

5 A. Correct. And you know, similarly you need  
6 the proper equipment. You need a cath lab  
7 and you need nurses. Yeah, those are the  
8 other requirements, and I agree that all the  
9 requirements should be met.

10 Q. Okay. Do you have any concern about using  
11 the volume standard as a metric or  
12 requirement, you know, when it is equated to  
13 be a measure or predictor of quality?

14 Does that cause you any pause?

15 A. I think there have been multiple studies that  
16 show that doing a procedure more does  
17 coordinate with quality. But you know, I  
18 think within -- within reason it doesn't  
19 really give you pause. I think that's  
20 reasonable.

21 I, you know, if I -- if I had to go for  
22 an elective PCI, I would rather have it done  
23 with a provider of an institution that did  
24 quite a number of them rather than did very  
25 few.

1 Q. Right, but it's a question of degree. Isn't  
2 it?

3 A. There's always a question of degree, sure.

4 Q. Yeah. So when the committee who wrote the  
5 2013 competence update document says on  
6 page 452, quote, the writing committee  
7 cautions against focusing on specific volume  
8 recommendations and emphasizes that  
9 procedural volume is one of several variables  
10 to consider when determining operator  
11 competency; volume is not a surrogate for  
12 quality and should not be substituted for  
13 risk-adjusted outcomes or other measures of  
14 quality.

15 Do you agree with that?

16 A. Sure, you -- you could have somebody who does  
17 a high-volume of procedures and has poor,  
18 poor outcomes.

19 But you know, in this 2013 document it  
20 does roll back. You know, the 2011, you  
21 know, the context is in 2011. They  
22 recommended that providers have -- bring in  
23 75 procedures -- bring in 400 procedures at  
24 each site and on-site cardiac surgery.

25 So this 2013 document was in that, in

1           that setting and was relaxing those  
2           guidelines from 75 per operator and 400 per  
3           center and on-site cardiac surgery without  
4           the more.

5           But you know it is a question of degree.  
6           I mean, if we're going to relax it from 200,  
7           are we going to relax it to 10? You know,  
8           there is a standard here and it's for a  
9           reason.

10          Q.    Right. And so what you've just described  
11                could be reasonably thought of as we had an  
12                approach that we as professionals thought  
13                made sense in 2011, and now looking at it two  
14                years later we've evolved our thinking based  
15                on looking at new information and new data,  
16                and new science that tells us what we think  
17                is reasonable.

18                Right?

19          A.    I -- I think that's correct and I, you know,  
20                I can see where this is going that, you know,  
21                now it's, you know, this is from 2013, 2014.  
22                Have things changed since then? The answer  
23                is, no.

24                If you look we've updated, you know, the  
25                guidelines in 2016, 2017, and they all

1           reaffirm this 200 number.

2                     There's really been no study that  
3           that's, you know, randomizing patients to  
4           low-volume centers because people don't --  
5           that would be ludicrous. And nobody is going  
6           to compare their 50 PCI per year program to  
7           the Cleveland Clinic or Columbia.

8           Q.   All right, Doctor. Well, I appreciate very  
9           much that you may be able to see where we're  
10          going, but I still need to get there.

11          A.   Very well.

12          Q.   So let's talk about these evolving standards  
13          that we've been discussing and how things may  
14          or may not have changed as more and more  
15          professional input has happened since 2013.

16                     And you would agree that there has been  
17          more guidance that's been issued over the  
18          course of the last seven years. Right?

19          A.   Yeah. I, you know, I think we -- we include  
20          exhibits from I think 2016 and/or 2017.  
21          And -- and certainly these guidelines do come  
22          out when things change.

23                     You know, you may -- I don't know if you  
24          were going to bring it up or not, but there  
25          was recent guidance from one of our societies

1           regarding potentially, you know, guidance for  
2           PCI ambulatory surgical centers, that that  
3           was prompted by Medicare CMS approving  
4           payment for such a PCI.

5           And you know, you saw that when -- when  
6           there's a need there's a guideline document  
7           to come up with. So with regards to, you  
8           know, surgery, in regards to PCI without  
9           on-site surgery there's been no change and no  
10          need to update the guidelines.

11          Q.   Well Doctor, since you brought it up -- it's  
12               a little bit out of order, but if you could  
13               enlighten us I'd be interested to hear your  
14               views and understanding regarding that recent  
15               policy promulgation relating to having PCIs  
16               done in an ambulatory surgical center, which  
17               obviously by definition doesn't include  
18               surgical backup to do bypass surgery. What's  
19               your sense of how we evolve to get there?

20   THE HEARING OFFICER:  I'm going to interject.  We're  
21               not talking about ambulatory surgical centers.  
22               It's not part of the application.  I just want to  
23               keep it focused on this application.

24   MR. TUCCI:  We don't need to belabor the point, Hearing  
25               Officer Mitchell.  Thank you very much.  I'll move

1 on.

2 BY MR. TUCCI:

3 Q. So Doctor, are you with me?

4 A. Yes.

5 Q. I want to ask you some more about sort of  
6 what your views are regarding sort of the  
7 general state of interventional cardiology in  
8 the world we're in today.

9 Do you agree with the idea that  
10 performing PCI without on-site surgical  
11 backup is something that's gained greater  
12 acceptance as the years have gone by in the  
13 United States?

14 A. Yes.

15 Q. And that is a view that is expressed in  
16 Exhibit B, the 2014 update on percutaneous  
17 coronary intervention without surgical  
18 backup. That was done by the three  
19 professional societies we've been discussing.

20 And that, for the record, appears on  
21 page 2621 of the document.

22 A. I agree, yeah.

23 Q. Yeah. Thank you. You're familiar with the  
24 New England Journal of Medicine?

25 A. Yeah, I've heard of it.

1 Q. And at the risk of stating the obvious,  
2 obviously the New England Journal of Medicine  
3 is an authoritative source in the medical  
4 field. Correct?

5 A. Yes.

6 Q. In the course of preparing for your testimony  
7 both in its written form and oral, did you  
8 have occasion to look at an article published  
9 in New England Journal of Medicine in May of  
10 2012, the title of it being, Percutaneous  
11 Coronary Interventions Without On-Site  
12 Cardiac Surgical Backup?

13 A. I have it here in front of me now. So I have  
14 seen this before, yes.

15 Q. Yeah. Do you recall that that article had  
16 some discussion that specifically addressed  
17 the question of volume when it came to doing  
18 PCIs without on-site cardiac surgery backup?

19 A. I -- I believe you, but can you direct me to  
20 where -- where you want me to look at that?

21 Q. Sure. I'd ask you to focus on page 8 --  
22 1818.

23 A. My -- what I have in front of me goes up to  
24 1801.

25 Okay. I have it in front of me.



1 Q. You're familiar with the term "nonprimary  
2 PCI?"

3 A. I'm sorry. Non-what?

4 Q. Nonprimary PCI?

5 A. Sure. And in this context that's elective  
6 PCI. You know you can divide it up in  
7 different ways, but you know it's elective  
8 PCI for our purposes.

9 Q. And the New England Journal of Medicine  
10 article when it's discussing volume  
11 considerations says, and I quote, nonprimary  
12 PCI is eight times as common as primary  
13 PCI according to a national registry data,  
14 and there was a strong association between  
15 PCI volume and outcome.

16 Are you familiar with that national  
17 registry data?

18 A. I -- I believe it. I -- I have -- I haven't  
19 looked at the national registry data in terms  
20 of the frequency of primary versus nonprimary  
21 PCI, but I think that that sounds logical.

22 Q. I guess my point is this, Doctor. Do you  
23 have any reason to quarrel with the notion  
24 that from an experiential standpoint elective  
25 PCI is performed eight times more than

1 primary PCI is performed on average?

2 A. I think nationwide that that rings true.

3 Q. All right. And the New England Journal of  
4 Medicine goes on to state -- make the  
5 following statement, and this is a paragraph  
6 in the left-hand column down toward the  
7 bottom.

8 If the privileges of sites that perform  
9 primary PCI were expanded to include  
10 nonprimary cases, the resulting increase in  
11 volume would enhance hospital, operator and  
12 team experience, and would theoretically  
13 improve the quality and safety of all PCIs  
14 performed.

15 Is that a statement you generally agree  
16 with?

17 A. Yes, but if you -- the next sentence is,  
18 removing the requirements raises  
19 countervailing concerns; proliferation of  
20 sites which nonpriority PCI can be performed  
21 for some existing high-volume regional  
22 centers and the low-volume programs with  
23 adverse implications for quality.

24 Q. Right.

25 A. And I think that's the -- the objection

1                   that's being raised here.

2           Q.     Right.  These things all have to be balanced  
3                   out.  Don't they?

4   MR. MONAHAN:  Object to the form.  If you're asking him  
5                   what his interpretation is, you can ask that.

6   MR. TUCCI:  Yeah.  That's exactly what I'm asking you.

7           BY MR. TUCCI:

8           Q.     Do you agree that these things all have to be  
9                   balanced out to make sure that there's an  
10                  appropriate balance maintained so that  
11                  quality exists in both high-volume centers  
12                  and centers that do a lower volume of PCI?

13                         Isn't that the goal?

14   THE HEARING OFFICER:  I'm going to let Dr. Martin  
15                   answer it.  Dr. Martin, you're already  
16                   answering -- so go ahead.

17   THE WITNESS (Bailey):  Yeah.  The goal is to have high  
18                   quality everywhere.  I'll agree to that.

19           BY MR. TUCCI:

20           Q.     All right.  In your written testimony you  
21                   conclude by saying that the concern that  
22                   you're bringing to the fore is that the  
23                   Norwalk application will -- and I'm quoting,  
24                   redirect patients from existing full service,  
25                   full-service providers, end quote.

1                   And then you go on to say, quote, with  
2                   no clear public benefit.

3                   Is that your view?

4           A.    Yes.

5           Q.    Do you agree that allowing a patient to  
6                remain with a provider of choice is something  
7                that could be viewed as a public benefit?

8           A.    Sure.

9           Q.    Do you agree that not requiring a patient to  
10               travel to get needed care when the  
11               circumstances don't require it can be a  
12               public benefit?

13          A.    I -- I think that's a tougher one because you  
14               know it depends.  Saying that circumstances  
15               requirement is really what is at issue here.

16          Q.    I understand that, but I'm asking you to  
17               assume the circumstances don't --

18          A.    All other things being equal, you're better  
19               off, you know, patients are better off having  
20               a choice and being able to do things closer  
21               to home.  I'll agree with that.

22          Q.    Okay.  And I assume you'd also agree that if  
23               that was the case it would be a public  
24               benefit not to have to pay the cost of having  
25               an ambulance transport a patient from one

1 institution to another, or have a duplicate  
2 testing run because the medical record  
3 systems don't talk to each other.

4 Right?

5 A. So I -- I don't propose to be an expert on  
6 cost of health care, but what I will say is  
7 that places that have centralized health  
8 care, you have this hub and spoke system  
9 where not every hospital duplicates every  
10 service and they, you know, that's -- that's  
11 done as part of a cost-saving measure.

12 So I -- I would argue that transferring  
13 to a higher level of care is not necessarily,  
14 you know, a higher cost proposition for the  
15 healthcare system as a whole.

16 Q. Well, let's try it this way. In a world  
17 where the goal is to provide and maintain a  
18 high level of quality when medical care is  
19 provided by institutions such as Stamford  
20 Hospital and Norwalk Hospital, would you  
21 agree with the notion that finding ways to  
22 deliver that care more efficiently and reduce  
23 the cost that consumers have to pay for that  
24 care, if it can be achieved would be a public  
25 benefit?

1           A.    I agree that's a public benefit.  I just  
2                    don't know that not transferring patients  
3                    is -- is a net cost saver.

4  MR. TUCCI:  All right.  Thank you, Doctor.  That  
5           concludes my questions.

6  THE HEARING OFFICER:  Any followup for Dr. Martin,  
7           Attorney Monahan?

8  MR. MONAHAN:  Yes, if you just give me one moment I do  
9           have a followup.

10  
11                               REDIRECT EXAMINATION (Martin)

12  
13  BY MR. MONAHAN:

14           Q.    Dr. Martin, without going through every  
15                    article that was referenced by Attorney  
16                    Tucci, is it fair to say that he selected  
17                    segments of different articles and asked you  
18                    to read them, and agree or disagree?

19                               Is that a fair statement?

20           A.    Sure.

21           Q.    Okay.  Having studied the literature both in  
22                    terms of your general practice as an  
23                    interventionist, and having studied all the  
24                    literature in connection with this  
25                    application for this PCI program, and having

1 studied all the literature for the  
2 application for the Greenwich/Yale New Haven  
3 PCI program; when you examined these various  
4 articles that come up with different  
5 improvements, studies, examinations, does it  
6 alter your view at all that the best standard  
7 in terms of minimum threshold still stands in  
8 the 2014 consensus document by the three  
9 expert agencies that we have talked about?

10 A. No, I think the 2014 document still stands.

11 Q. Isn't it a fact that guidelines are in fact  
12 studied, examined -- even debated, and that  
13 is why there is a number? There are a number  
14 of literature pieces that come out.

15 And it is, as Dr. Bhalla testified  
16 earlier, these consensus groups that come  
17 together to pull all that together, to come  
18 up with a gold standard best practice.

19 Is that a fair statement?

20 MR. TUCCI: Objection to the leading, and the speech.

21 MR. MONAHAN: I'm following up, Attorney Michaela, on  
22 the very questions that he was giving segmented  
23 and without context. This is my ability now to  
24 give context to what was omitted from the  
25 question.

1 THE HEARING OFFICER: All right. I'm going to go ahead  
2 and allow you to ask those questions, Attorney  
3 Monahan, but just not -- I would rather hear  
4 Dr. Martin testify in his own words rather than --

5 MR. MONAHAN: Certainly.

6 THE HEARING OFFICER: Yeah.

7 BY MR. MONAHAN:

8 Q. Certainly. So based on everything you've  
9 read, what do you view today as the best  
10 standard in terms of minimum threshold for  
11 elective PCI in your professional opinion?

12 A. Well, my --

13 Q. For facilities?

14 A. -- my professional opinion is shaped by the  
15 expert consensus guidelines which are still,  
16 you know, has been reaffirmed really again  
17 and again, that at least 200 is a minimum  
18 standard.

19 Q. And with all of the other advancements,  
20 additions, improvements, has there been any  
21 document that you know or that's been  
22 demonstrated or shown to us by the Applicant  
23 that has superseded, eradicated or abolished  
24 that threshold?

25 A. No.



1 MR. MONAHAN: I have no other questions.

2 THE HEARING OFFICER: All right. Any followup, or any  
3 additional questions for the Intervener's  
4 Witnesses, Attorney Tucci?

5 MR. TUCCI: Nothing further. Thank you, Hearing  
6 Officer Mitchell.

7 THE HEARING OFFICER: Thank you. I'm going to go ahead  
8 and turn it over to you, Attorney Monahan, for  
9 questions for the Applicant's witnesses.

10 MR. MONAHAN: Can I just have a moment to put some  
11 binders away?

12 THE HEARING OFFICER: Absolutely.

13 MR. TUCCI: Hearing Officer Mitchell, I'm just going to  
14 step out briefly while Mr. Monahan is getting  
15 ready.

16 THE HEARING OFFICER: All right. So what we can go is  
17 we can go ahead and take a five-minute break, if  
18 that's okay with people?

19 We'll go on the record at 2:35 rather than  
20 just have the dead air while people are waiting  
21 around in case anybody needs to use the restroom  
22 or make a call.

23 MR. MONAHAN: Thank you very much.

24 THE HEARING OFFICER: You're welcome.

1 (Pause: 2:30 p.m. to 2:35 p.m.)

2  
3 THE HEARING OFFICER: All right. So we'll go ahead and  
4 I will hand it over to you, Attorney Monahan.

5 MR. MONAHAN: Yes. I'd like to call Dr. Murphy as a  
6 witness for cross-examination.

7 THE WITNESS (Murphy): Okay. I'm all set.

8  
9 CROSS-EXAMINATION (Murphy)

10  
11 BY MR. MONAHAN:

12 Q. Hello, Dr. Murphy. How are you?

13 A. Hello, Mr. Monahan. Good, thank you.

14 Q. Dr. Murphy, you submitted prefiled testimony  
15 in this matter. Correct?

16 A. Correct.

17 Q. And you know, without going through your  
18 whole curriculum vitae, which is obviously  
19 very impressive, you are a physician.

20 Correct?

21 A. Yes, correct.

22 Q. Am I correct that you do not specialize in  
23 any area of cardiology?

24 A. That is also correct.

25 Q. In connection with your role at Nuvance, what

1 is your role at Nuvance in connection with  
2 Norwalk Hospital?

3 A. I'm the Chief Executive Officer of the entire  
4 system including the various hospitals.

5 Q. Is it fair to say that you have the final say  
6 when it comes to a decision at Norwalk  
7 Hospital if there's a disagreement between  
8 you and the CEO of the Norwalk Hospital?

9 A. That's probably true.

10 Q. In your prefiled testimony you made it quite  
11 clear that you see a regulatory impediment or  
12 barrier to the application that you had  
13 submitted. Correct?

14 A. Correct.

15 Q. And am I correct in assuming that the fact  
16 that you had applied for this as Norwalk  
17 Hospital twice before in the years past and  
18 had been denied by the office, the  
19 predecessor of OHS, the Office of Healthcare  
20 Access, that that contributed to your view of  
21 there being a regulatory barrier?

22 MR. TUCCI: Objection to the form. Objection, your  
23 Honor -- objection, Hearing Officer. No  
24 foundation.

25 The question assumes that, you know,

1 Mr. Murphy was in charge of Norwalk Hospital at  
2 that time.

3 MR. MONAHAN: I'll establish the foundation very  
4 clearly. If Dr. Murphy does not know of that, I  
5 think I can get that established on the record.

6 THE HEARING OFFICER: All right. I will say in terms  
7 of this type of hearing the evidentiary rules  
8 don't apply, but it probably would be helpful to  
9 have that on the record. You know he may not be  
10 able to answer if he wasn't, so.

11 THE WITNESS (Murphy): Well, I was aware of it. And  
12 you know, as was the case that Danbury Hospital  
13 where it was previously denied, it was ultimately  
14 overturned. The State permitted it.

15 So I would say the fact that it was  
16 previously -- the application was denied had no  
17 material bearing on our decision to file again.

18 BY MR. MONAHAN:

19 Q. And on page 2 -- do you have your testimony  
20 in front of you?

21 A. I can get it. Just give me a second.

22 Go ahead.

23 Q. At the very top of the second page of your  
24 testimony it's a carrier sentence, but you  
25 establish a sentence about establishing an

1 overview of Nuvance Health, a systemwide  
2 network vision and demonstrating how the  
3 application and the establishment of an  
4 elective PCI service at Norwalk Hospital is  
5 essential to furthering that goal.

6 Do you see that?

7 A. I do.

8 Q. Okay. The next sentence, I'd like to  
9 understand if you could explain to me -- what  
10 is the long-standing state restriction that  
11 you have put out as a regulatory barrier that  
12 you foresee as a potential problem that you  
13 would like OHS to overcome and approve?

14 A. The requirement that on-site cardiac surgery  
15 backup be present at the same site where the  
16 elective PCI is taking place.

17 Q. So is that -- and it's only because I don't  
18 understand. Perhaps I don't understand your  
19 answer. Is that because you are required to  
20 transfer from Norwalk Hospital patients who  
21 do not need primary PCI, but if they need --  
22 if they want elective PCI they need to be  
23 transferred to others.

24 Is that the barrier?

25 A. The barrier is if, you know, in -- in our

1 view in an ideal world if patients wanted or  
2 needed elective PCI and they wanted to have  
3 it here, they could have it here.

4 That even if this site did not offer  
5 cardiac surgery at Norwalk Hospital, that  
6 they -- they should be permitted to have that  
7 procedure here since, in fact, primary PCI is  
8 being done and we have the talent and the  
9 expertise, the facility, et cetera.

10 Q. Okay. I understand that that's your goal,  
11 but what I'm trying to understand is what's  
12 the regular barrier from you doing that?

13 A. Well, we don't have cardiac surgery on site  
14 here.

15 Q. Okay. And why is that a problem for you?

16 A. Because that's the requirement.

17 Q. And do you understand that that is -- look.  
18 Let me put it this way, or ask it this way.

19 You described this as a state  
20 restriction and as a regulatory barrier. Are  
21 you asking OHS to change any particular  
22 regulation?

23 A. We are asking to be permitted to do elective  
24 PCI here at Norwalk Hospital, and that the  
25 State approve the application.

1 Q. You do understand that the Office of Health  
2 Strategy has no ability in this proceeding to  
3 change or make a regulation. Correct?

4 A. I understand that.

5 Q. Okay. You also understand that the Office of  
6 Health Strategy is -- while it certainly is  
7 under the statutory principles open through  
8 all applications to listen to all claimants  
9 of all sizes, systems, nonsystems, whatever  
10 it may be.

11 Their goal is not to -- their mission is  
12 not to grant a vision of a system, but to  
13 uphold the state law as defined in the  
14 principles and guidelines of CON. Correct?

15 A. Well, I don't know that upholding the state  
16 law they can approve an application, or not.  
17 I don't know the details regarding the -- the  
18 applicability of the enforcing state law in  
19 that process.

20 Q. Okay. So as you sit here -- and I recognize  
21 that, unless I've missed something on your  
22 resume where you're also a JD, I'm not asking  
23 you for a legal opinion.

24 But is it your understanding that OHS  
25 can act independently of statutory principles

1                   and guidelines guiding this decision?

2   MR. TUCCI:   Objection, Hearing Officer Mitchell.

3                   If I may be heard?

4   THE HEARING OFFICER:   On what basis?

5   MR. TUCCI:   The objection is that his understanding of  
6                legal matters is not relevant.  I've tried to  
7                refrain from objecting here, but I don't think  
8                this line of questioning about what Dr. Murphy may  
9                or may not understand about the legal implications  
10              of CON regulations is at all relevant to or  
11              helpful to OHS in deciding whether or not this  
12              application should or should not be granted.

13   THE HEARING OFFICER:   Attorney Monahan?

14   MR. MONAHAN:   Well, it was the lead introduction to  
15                this Witness' testimony that he put forth as the  
16                premise of his testimony, and then filled in the  
17                strength and the vision of the heart and vascular  
18                center and talked about a request to remove -- not  
19                consider, remove regulatory and state barriers.

20                I think it is a fair question to ask the CEO  
21                of this system whether he has a sense of the  
22                distinction between the role of this Hearing  
23                Officer, this body, with all due respect, and the  
24                State Legislature.

25                If he doesn't know he can tell me he doesn't



1 know.

2 MR. TUCCI: Hearing Officer Mitchell, may I be heard  
3 briefly in response?

4 THE HEARING OFFICER: Yes.

5 MR. TUCCI: The only point that I'm making is that  
6 Mr. Monahan asked the Witness what his  
7 understanding or belief was to explain the concept  
8 of a barrier or a regulatory barrier, and the  
9 Witness answered him three times.

10 So I don't know what else he's asking this  
11 Witness to explain other than what he's already  
12 explained, and I'm not sure why we have to keep  
13 going over this. That's my point.

14 MR. MONAHAN: The only question that has been  
15 unanswered is whether the Witness understands that  
16 state statutes govern the operation of this OHS  
17 decision-making process and the stringent review  
18 needed? Or whether he has no idea that that's the  
19 case? He can tell me either way.

20 THE HEARING OFFICER: So I'm going to allow for that  
21 last question that you asked, Attorney Monahan.

22 And then, Dr. Murphy, are you able to answer  
23 that last question?

24 THE WITNESS (Murphy): Yes. I -- I have confidence  
25 that the Office of Health Strategy can interpret

1 statutes, supply guidelines and approve  
2 applications. And -- and that that blend of  
3 activities is what we're here for.

4 And the fact that we don't have a cardiac  
5 surgical program is, in fact, a barrier for us  
6 that we are asking you to consider as you examine  
7 our application.

8 BY MR. MONAHAN:

9 Q. Thank you. Now one of the statutory  
10 principles -- and I'm asking if you're aware  
11 of this is whether the -- in determining  
12 whether your application has merit is whether  
13 the results of the Office of Health  
14 Strategy's examination of the relationship of  
15 the proposed project to the statewide  
16 healthcare facilities and services plan; are  
17 you aware of that as a tenet or principle, or  
18 concept that guides this proceeding?

19 A. I realize that the Office of Health Strategy  
20 does have to at least understand, if not  
21 respect the principles articulated in that,  
22 that policy or statement -- or plan.

23 Q. Okay. And in addition to that statement in  
24 the legislative provision that I just read,  
25 the Office of Health Strategy has indeed

1 published a statewide healthcare facilities  
2 plan.

3 Are you aware of that?

4 A. Not in -- with any specificity.

5 Q. Are you aware that the current statewide plan  
6 published by the Office of Health Strategy on  
7 page 39 of its 2012 publication, which is  
8 still in force and which has been cited in a  
9 number of CON applications as final  
10 decisions, states as follows.

11 Connecticut hospitals seeking  
12 authorization to initiate an elective PCI  
13 program without on-site cardiac surgery  
14 capabilities will be required to meet the  
15 conditions required in the ACCF/AHA/SCAI  
16 practice guideline and to demonstrate clear  
17 public need for the program.

18 The guideline states that it is only  
19 appropriate to consider initiation of a PCI  
20 program without on-site cardiac surgical  
21 backup if this program will clearly fill a  
22 void in the healthcare needs of the  
23 community.

24 And further, the guideline notes that  
25 the competition with another PCI program in

1 the same geographic area, particularly an  
2 established program with surgical backup may  
3 not be in the best interests of the  
4 community.

5 In advance of filing this application  
6 were you aware of that established guideline  
7 by this agency?

8 A. Well, I know that the -- two comments,  
9 Mr. Monahan. First of all, I'm not worried  
10 about OHS's ability to properly do its job.  
11 I have full confidence in the people who work  
12 there. So the fact that they understand what  
13 the state facilities health plan says, I'm  
14 sure that they will adhere to it and follow  
15 it.

16 And in addition to the 2012 facilities  
17 plan which you have identified, I'm sure  
18 you're also aware of the supplement that was  
19 published in 2020 which specifically  
20 addresses this issue and the need to call and  
21 bring together a task force to examine this  
22 particular question.

23 So the 2012 guidance and plan that was  
24 published has clearly been brought back for  
25 further examination and discussion.

1           Q.    I appreciate that, and I am well aware of  
2                that task force, and I appreciate you  
3                bringing that out into the record.

4                        However, I also appreciate the fact that  
5                you say that you will respect the ability of  
6                the Office of Health care Strategy to adhere  
7                to its own published guidelines.

8                        Now the fact that there's a task force  
9                studying, you are not purporting to tell me  
10               that that task force has somehow superseded  
11               or already modified, or eliminated this  
12               guideline. Are you?

13          A.    I'm not privy to the thinking of OHS and how  
14                it interprets the task force, or for that  
15                matter where the task force is in its work.  
16                I'm simply drawing attention to the fact that  
17                I inferred that you were offering the 2012  
18                plan as if it were poured in concrete and  
19                never changing.

20                       And I simply wanted to draw attention to  
21                the fact that I believe OHS is aware of the  
22                fact that guidelines evolve and need to be  
23                reexamined, and it will do its job properly  
24                in the context of the task force. The timing  
25                will be left to OHS, not to me.

1 Q. Okay. And there's nothing you know that I  
2 don't know about the timing having already  
3 been completed on that. Is there?

4 A. I don't know what you know, and I don't know  
5 where the task force is in terms of its work.

6 Q. Are you on the task force?

7 A. I am not.

8 Q. When the original application for this CON  
9 was filed who on your staff did you put in  
10 charge of pulling it together?

11 A. It was a team.

12 Q. Okay. But was there a lead person on the  
13 team?

14 A. Well, I would speak to Sally Herlihy or Mark  
15 Warshofsky as the key contacts as far as I  
16 was concerned.

17 Q. Okay. When we talk about -- excuse me, the  
18 original application there, and as is common  
19 with CON applications there is an attestation  
20 filed.

21 And the attestation in this case in  
22 your application were filed by -- excuse me,  
23 Peter Cordeau who, of course, is the  
24 President of Norwalk Hospital, and Stephen  
25 Rosenberg, who I understand is the Chief

1 Financial Officer of Nuvance.

2 Is that correct?

3 A. Yes, it is.

4 Q. Okay. And just for the record, those  
5 affidavits attest that all the facts  
6 contained in the submitted certificate of  
7 need application are true and correct to the  
8 best of their knowledge?

9 And if you need to see it to corroborate  
10 what I'm saying you can, but I think Attorney  
11 Tucci will attest that I have read it  
12 correctly.

13 A. So you're asking if I knew that they were  
14 attesting -- what's the question again?

15 Q. That they were attesting to my affidavit to  
16 the truth and veracity to the best of their  
17 knowledge about to the facts recited in this  
18 application?

19 A. Yes.

20 Q. Okay. Now one of the facts that was recited  
21 in the executive summary was that there was  
22 no capital expenditure associated with this  
23 application. Is that an accurate statement?

24 A. Yes.

25 Q. So there is also a statement in here that the

1 hospital will not incur -- excuse me, the  
2 program can be implemented -- and I'm reading  
3 from page 16 of the original application --  
4 that the program can be implemented  
5 immediately upon approval of this proposal as  
6 the facilities and staff to provide the  
7 service are already in place at the hospital,  
8 and there is a demonstrated need for the  
9 service in the hospital's community.

10 Do you believe that to be true and  
11 correct?

12 A. Yes.

13 Q. Now subsequent to the filing of this  
14 application and in response to the Office of  
15 Health care Strategy to complete these  
16 questions there was a revised financial  
17 worksheet that was submitted. And in  
18 that financial worksheet -- and I'm referring  
19 to the Applicant Norwalk Hospital Financial  
20 Worksheet A, there is a specific request for  
21 the Applicant to provide projected  
22 incremental costs associated with the  
23 project.

24 And while I have highlighted certain  
25 costs -- and I don't know that I've covered



1 every single one -- for fiscal year 2021 the  
2 estimated incremental cost by Norwalk  
3 Hospital is \$1,084,000. The projected annual  
4 cost for fiscal year 2022 was \$1,317,000.  
5 And the projected annual cost for fiscal year  
6 2023 was \$1,583,000.

7 Were you aware of those incremental  
8 costs being supplemented or added to the  
9 application?

10 A. Well, I'm -- I'm sure what you're stating is  
11 true.

12 Q. And I'm asking if you were aware that in fact  
13 what Norwalk had originally reported in its  
14 original application which you deemed to be  
15 true and correct based on its knowledge at  
16 that time was actually several million  
17 dollars incorrect, and it was only after some  
18 later analysis that the additional costs  
19 surfaced?

20 MR. TUCCI: Objection, Hearing Officer Mitchell.

21 Objection. It misstates the evidence and comes  
22 fairly close to being scurrilous.

23 THE HEARING OFFICER: Okay. Any response to that,  
24 Attorney Monahan?

25 MR. TUCCI: I can explain the basis for my objection.

1           It's a strong objection I'd like to explain.

2   MR. MONAHAN:   But I --

3   THE HEARING OFFICER:   Hold on one second, Attorney  
4           Monahan.

5   MR. TUCCI:   The basis for my objection is that counsel  
6           asked the witness five questions ago or so about  
7           facts contained in the executive summary.  And he  
8           specifically asked the Witness about facts  
9           relating to capital expenditures associated with  
10          the application.  And the Witness gave an answer  
11          that had to do with capital expenditures.

12               Now counsel is focusing on incremental costs  
13          which is a different thing than capital  
14          expenditures, and attempting to draw a comparison  
15          between the two as if they're both the same and  
16          then accusing Norwalk Hospital of misrepresenting  
17          information.  I object.

18   MR. MONAHAN:   That is absolutely a misstated objection.  
19           The paragraph that I read from indeed at first was  
20          no capital expenditures.  The second paragraph  
21          that I read dealt with, the program can be -- and  
22          I'll read it again.

23               The program can be implemented immediately  
24          upon approval of this proposal as the facilities  
25          and staff to provide the service are already in

1 place at the hospital, and there is no  
2 demonstrated need for the service in the hospital  
3 community.

4 As I will be able to show in this financial  
5 statement there were FTEs that needed to be added.  
6 They were operating costs that had to be added  
7 that were not capital costs. So I take great  
8 offense to what was called as a scurrilous  
9 objection.

10 THE HEARING OFFICER: All right. So can you help for  
11 the record? Just make the distinction between the  
12 capital costs and the costs that were on the  
13 worksheet, and then help us understand where  
14 you're going with the line of question?

15 MR. MONAHAN: Where I'm going with the line of  
16 questioning is we are now talking with the CEO of  
17 the Nuvance System who has premised this entire  
18 application on the need for Nuvance System to move  
19 forward to develop this vascular system, this  
20 vascular program, to gain approval on this  
21 application and to overcome long-standing existing  
22 regulatory barriers.

23 What I am saying is, regardless of the team  
24 that he put in place there is an application --  
25 and this is the first of several that I will be

1       able to show that the initial application, which  
2       in appropriate manner should be complete to the  
3       best of the Applicant's ability -- has been  
4       altered and modified and supplemented right up  
5       until the 15th the day of the prefiled testimony  
6       to try to augment the problems that occurred in  
7       the deficiencies in the original application.

8               And if this Witness has no knowledge as the  
9       lead person, he can tell me that.

10   THE HEARING OFFICER: All right. I'm going to --

11       Dr. Murphy, I'm going to let you respond to that  
12       to the best of your knowledge.

13   THE WITNESS (Murphy): Let me first reassure you,

14       Mr. Monahan. And I'm -- I'm certain that you  
15       didn't mean to be offensive by implication.

16               We operate on a principle of integrity so  
17       that I am 100 percent confident that any question  
18       that you ask of us will be properly answered. I  
19       have, you know, I have the good fortune of being  
20       surrounded by a lot of smart people here today to  
21       whom I can defer for the specifics regarding why  
22       were these incremental costs added.

23               But in your characterization you said that  
24       the document was altered. I think that that  
25       isn't -- is not accurate. It was in fact

1       supplemented, but we didn't alter anything. We  
2       found additional information and provided it  
3       truthfully, and that is the basis -- integrity is  
4       the basis upon which all of our actions are  
5       guided.

6               So if you want me to provide for you someone  
7       else to answer the question with specific detail I  
8       can certainly make that happen if Hearing Officer  
9       Mitchell would like me to do that.

10   MR. MONAHAN: No. Dr. Murphy, I appreciate that. And  
11   believe me in no way -- and I'm sorry if in the  
12   spirit of the proceeding like this if the tone  
13   comes across -- there was no way I intended to in  
14   any way be offensive towards you, or toward the  
15   integrity of you or your team.

16               In fact, I really want to be clear about  
17   that. So I apologize if it came across that way.

18               So if you may? And bear with me, I'd like to  
19   ask you a few more questions about your testimony.

20   BY MR. MONAHAN:

21       Q.   Right now you have -- and maybe even upon  
22             hearing the testimony of others -- but I  
23             suspect you have a very good sense that  
24             elective PCIs, to the extent that Norwalk  
25             Hospital cannot do elective PCI's right now,

1           they are transferred to at least four  
2           different hospitals and maybe more.

3           But those include Stamford Hospital,  
4           Bridgeport Hospital, St. Vincent's Hospital,  
5           and of course your own Danbury Hospital.

6           Correct?

7           A.    Yes.

8           Q.    I'm sorry. That was a yes?

9           A.    Yes. I'm sorry.

10          Q.    Okay. I'm sorry.

11                Am I correct that it is the case that  
12                there is no instance in which those four  
13                hospitals within the 30-minute guideline  
14                standards have at all said to you, we can't  
15                take another PCI, elective PCI patient?

16                In other words, there is access  
17                available at those four hospitals for  
18                elective PCI patients who presently would  
19                need to be transferred in the absence of this  
20                application being granted.

21                Is that correct?

22           A.    Yes, it is. I believe it is.

23           Q.    Okay. Now one of the reasons you've put  
24                forth in your testimony as supportive of  
25                keeping patients close to home, you know,

1 closer to the hospital -- perhaps of their  
2 choice, is because of the -- without quoting  
3 exactly, but some of the difficulties  
4 associated with transfer and communication  
5 with medical records, or transmission of  
6 medical records.

7 Is that correct?

8 A. Yes.

9 Q. Okay. If we go -- and bear with me for a  
10 minute while I look through these. Okay.

11 Attorney Tucci referred to these numbers  
12 in the original application in the project  
13 description where he talked about there are  
14 about 155 cardiac transfers from the  
15 hospital, being Norwalk Hospital to other  
16 acute institutions for cardiac clinic care.

17 And he did reference 46.2 percent being  
18 transferred out of 119. 55 being transferred  
19 to St. Vincent's, 38 to Danbury, 13 to  
20 Bridgeport, and 6 to Stamford, and 5 to Yale  
21 New Haven, and even 2 to New York  
22 Presbyterian.

23 I've read those numbers. Obviously it  
24 would never hurt to check them, but I  
25 represent to you that I've read them from

1                   your application.

2                   My question about that is, let's take  
3                   the transfer to Danbury Hospital. Of those  
4                   38 transferred how many of those 38  
5                   transferred to Danbury Hospital, and of  
6                   course without any disclosure of any type of  
7                   identifiable information -- but how many of  
8                   those transfers resulted in an adverse  
9                   outcome or harm to the patient as a result of  
10                  Norwalk's inability to communicate in an  
11                  appropriate manner with Danbury Hospital on  
12                  medical records?

13                A. I -- I do not have the specifics here. So it  
14                  would be speculative for me to offer a  
15                  response.

16                Q. Okay. But do you know of any that happened?

17                A. If you want me to guess, tell me. If you  
18                  want facts, I don't have them.

19                Q. If you don't have facts I don't want you to  
20                  guess. I just didn't know whether you knew  
21                  it was zero, or you knew it was some amount.  
22                  You just don't know the amount?

23                A. Yeah. As I said, unfortunately I -- I can't  
24                  provide you with a response, because I -- you  
25                  don't want me to guess and I don't have the



1 facts.

2 Q. Okay. Similarly in the transfer to Danbury  
3 Hospital of those 38 patients how many  
4 incidents resulted in adverse outcomes to a  
5 patient that would need to be reported to the  
6 Department of Public Health because of harm  
7 arising from the transfer from Norwalk to  
8 Danbury?

9 A. Yeah. Unfortunately, Mr. Monahan, I'm going  
10 to have to provide the same answer. I have  
11 not studied the nature of the transfers on an  
12 individual patient level. So I -- I really  
13 can't provide you with a meaningful response.

14 Q. Okay. Well, the reason -- and I appreciate  
15 that, and I certainly wouldn't expect that  
16 every detail worked its way to your desk.

17 However, given that you have referenced  
18 in your testimony the -- what you, you know,  
19 you call the downside or what I'm saying,  
20 describing as the downsides that you describe  
21 of transfer, and from one facility to another  
22 even within the 30-minute period.

23 And in the inability to, you know,  
24 perhaps ideally coordinate through medical  
25 records, it seemed to me -- I was just trying

1 to understand whether you think this is a  
2 prevalent problem, or whether this is a  
3 possibility but hardly ever occurs?

4 A. Well, I've been practicing medicine --  
5 medicine for 35 years. And you know, I've  
6 transferred lots of patients in my life. And  
7 stuff happens, and it happens more often in  
8 general than it does when you keep the same  
9 patient within the same four walls of the  
10 hospital.

11 So I think you know, it's -- it's  
12 instinctively I think sensible to realize  
13 that sending somebody out of your institution  
14 someplace else invites some degree of risk,  
15 but I -- I can't specifically answer the  
16 questions that you've posed, unfortunately.

17 Q. Okay. No problem. So you've made it as a  
18 general statement as a possibility, but you  
19 have no data to back that up as you sit here  
20 today?

21 A. Other than 35 years of experience.

22 Q. Now at some point in time there was an  
23 estimate in the original application made by  
24 Norwalk Hospital of projected elective PCIs  
25 over a series of projected years that fell

1 well short of the -- and I will get to the  
2 expert document in a moment -- but the 200  
3 facility threshold, that minimum threshold  
4 that has been the subject of discussion in  
5 this hearing today.

6 Do you recognize that?

7 A. Yes.

8 Q. And in that original calculation of -- if you  
9 give me one moment, please?

10 In that original calculation which is in  
11 the utilization section on page 36 of 52, of  
12 your original application, the Norwalk  
13 Hospital projected based on fiscal years -- I  
14 believe they cited a table, or you cited a  
15 table of fiscal year 2017, 2018 and 2019, and  
16 perhaps an annualized fiscal year 2020.

17 And for '17, '18 and '19 when one adds  
18 up Danbury Hospital we come up with a total  
19 of 73, 71 and 61 in those three successive  
20 years of PCIs. Does that make sense to you?  
21 Or do you want to look at those numbers?

22 A. I -- I see the numbers. I -- I'm happy to  
23 address a particular question if you have it.

24 Q. Sure.

25 A. You know, if you want a more educated answer

1           there are individuals who I suspect you will  
2           be calling for cross soon that may be able to  
3           offer a greater degree of precision.

4           Q.    I appreciate that, and my questions are not  
5           going to sort of get into the sort of the  
6           nitty gritty of the calculation.

7                        But what I am wondering is, when you see  
8           the projected volume in the table below, do  
9           you see, you know, fewer numbers -- or lesser  
10          numbers.   Do you see that?

11          A.    I -- I lost you a little bit, Attorney  
12          Monahan, I -- in terms of -- what is falling  
13          off?

14          Q.    There's two tables in OHS table four?

15          A.    Yeah.

16          Q.    And then the projected numbers that -- for  
17          utilization by service -- yes, is for primary  
18          elective PCI, if this were granted would be  
19          for fiscal year '20, '21 and '22, a total of  
20          62, 128, and 141.

21                        Do you see that?

22          A.    Yes.

23          Q.    If you add the primary and elective PCI  
24          numbers together?

25          A.    Yeah.

1 Q. Okay. In general, again knowing you didn't  
2 author every answer to this, but did you know  
3 that those were the projections going in? Do  
4 you remember if you knew?

5 A. No. Honestly I do not know that I looked at  
6 or examined with this degree of detail the  
7 difference between the actual and the  
8 projected -- or for that matter, whether  
9 Danbury was included in the system numbers or  
10 not.

11 Q. Okay. Let me ask you, were you aware at the  
12 time that this application was being filed  
13 that the consensus document from the three  
14 leading cardiac societies and groups who had  
15 reiterated their minimum threshold in 2014 of  
16 200 minimum procedures for facilities without  
17 backup surgery, and that that had not been  
18 changed?

19 Did you have any sense that those  
20 projections were below that threshold?

21 A. I -- I have discussed the -- the numbers  
22 with, certainly with Dr. Warshofsky. It's  
23 someone that I'm confident -- and we respect  
24 the guidelines of 200. I'm confident that we  
25 will exceed them.

1 I don't know whether or not if your  
2 question is, well, then why did you submit  
3 the application if your number was below 200?  
4 I -- I don't know, but you can certainly ask  
5 Dr. Warshofsky about the differences and  
6 whether or not COVID, for instance, is  
7 factored into '20 at all.

8 But I will tell you that our more recent  
9 numbers, particularly those from this year  
10 annualized look at 80 primary PCIs. And if  
11 you do the extrapolation I'm very confident  
12 that we will exceed, substantially exceed the  
13 200 number as a threshold.

14 Q. All right. When did it come to your  
15 attention in your office that there was a  
16 desire or a need, or a request to change that  
17 calculation?

18 A. No one came to me with an expressed desire to  
19 change a calculation.

20 Q. I'm just going back to what you said you had  
21 conversations with -- I believe it was  
22 Dr. Warshofsky, and maybe others.

23 But is there at some point sometime  
24 before you filed your testimony that someone  
25 said to you in words or substance, e-mail,

1                   hey. Our projections are below 200. We need  
2                   to rework them, or words to that effect?

3           A.    Never.

4           Q.    Okay. So is it the case that from the  
5                   original filing of those projections below  
6                   200 to this very day you had no knowledge of  
7                   the modification from below 200 to a  
8                   projection in excess of 200?

9           A.    Again, I -- I was not --

10   MR. TUCCI: I'll object to the form. Excuse me,  
11               Hearing Officer. I'll object to the form as to  
12               modification. That's a mischaracterization of  
13               what the Witness has testified to. He's testified  
14               to a supplementation.

15   MR. MONAHAN: Well, I'll withdraw. Whether we call it  
16               a supplementation, you know, a change, a  
17               modificate -- whenever appropriate word, the  
18               numbers changed.

19   BY MR. MONAHAN:

20           Q.    What I'm trying to understand is, Dr. Murphy,  
21                   when did you first become aware that the  
22                   numbers were being supplemented?

23   MR. TUCCI: Hearing Officer Mitchell, I have to renew  
24               the objection. There was a premise in the  
25               question that, quote, unquote, the numbers

1 changed. There is simply a gross  
2 mischaracterization of the evidence.

3 If you are looking at the information that  
4 was submitted in Norwalk Hospital's responses to  
5 OHS public hearing issues list, it provides  
6 updated cardiac cath and PCI case trends through  
7 fiscal year 2021 based on FP1-6, meaning the first  
8 six months of the year.

9 So those, that's the additional information  
10 that was presented. It's not a change.

11 MR. MONAHAN: Well, rather than that -- my request is  
12 rather than have Attorney Tucci testify about the  
13 change, what I'm asking is whether Dr. Murphy had  
14 knowledge that there would be a change, whether  
15 it's in the numbers, the calculation, the  
16 methodology, but something to get those numbers  
17 from below 200, over 200.

18 THE HEARING OFFICER: I'm going to allow that question.

19 THE WITNESS (Murphy): No. The answer is no.

20 BY MR. MONAHAN:

21 Q. Okay. So when you gave your testimony on  
22 August -- excuse me, April 15th, and  
23 submitted it, you did not know that there had  
24 been a supplement to those numbers?

25 A. Correct.



1           Q.    You've heard a lot of talk about the 2014  
2                consensus document regarding the three  
3                organizations that published guidelines,  
4                consensus guidelines in 2011, and then in  
5                2014, and remain steadfast at the facility  
6                minimum threshold of 200 PCI services as a  
7                minimum threshold for elective PCI at a  
8                facility without surgical backup.

9                       Correct?

10          A.    Yes.

11          Q.    Do you respect that, those three entities as  
12                expert entities in the promulgation of  
13                guidelines and best practices in connection  
14                with cardiac care?

15          A.    Well again, I think at the outset I hope I  
16                made it clear I am not a cardiologist. I  
17                don't pretend to be one, and I have no reason  
18                to be suspect of these guidelines or the  
19                consensus statements.

20                       But I don't know the totality of other  
21                guidelines and I don't want to get, you know,  
22                caught in -- in a paragraph or a sentence  
23                here about something that may be in the  
24                documents. But you know, in general, I -- at  
25                least in my field I read them and to the

1 extent that they're appropriate, follow them,  
2 but I also recognize that individual patient  
3 circumstances, some things aren't followed to  
4 the letter.

5 Q. Who doesn't follow them to the letter?

6 A. No, I'm saying if you're applying a  
7 guideline, a consensus guideline in the field  
8 of neurology to a particular patient, there  
9 are times and circumstances where the  
10 guidelines are less relevant.

11 Q. Right. So if for instance in these  
12 guidelines -- and maybe you know enough about  
13 what has been said and read, and maybe you've  
14 read them yourself, even these consensus  
15 guidelines provide an exception to the 200  
16 minimum threshold when a hospital may be in  
17 an isolated area, unlike the area you're in  
18 where you have at least four hospitals with  
19 full cardiac backup.

20 You understand that there is that  
21 exception?

22 A. Yes, I do.

23 Q. And you agree that that exception does not  
24 apply to you?

25 A. I just want to be careful that I -- I answer

1           fully here, that I get the sense that the  
2           premise of your question is, we're looking  
3           for an exception to come in under 200 cases,  
4           and that's not in fact the circumstance here.

5           Q.   And if you didn't know that there was -- and  
6                I'm really, really just trying to understand  
7                based on what you said, the chronology here.  
8                If you did not understand as of the time you  
9                penned your signature to the testimony on the  
10              15th that there was not a supplement to the  
11              projection, when did you learn that now there  
12              was a supplement where we -- where Norwalk  
13              Hospital was projecting numbers above the  
14              200?

15          A.   Yeah.   Attorney Monahan, you -- you may not  
16                fully appreciate the nature of my job.   I'm  
17                running seven hospitals in 85 communities and  
18                I am not looking at this with a fine-toothed  
19                comb to see whether supplemental data has  
20                been submitted.

21                I rely on my team.   They are enormously  
22                talented, filled with integrity and deeply  
23                honest people.   So if there's some  
24                supposition that somebody is playing a game,  
25                that it won't fly.

1 I do -- I do not recall any specific  
2 time where somebody said, do you realize that  
3 data was submitted? I've been through CON  
4 submissions before and there are all kinds of  
5 answers to questions that are provided on an  
6 ongoing basis, and then additional questions  
7 appear.

8 So I'm used to this continuum of  
9 communication and data exchanges. So there's  
10 nothing about this that feels odd to me, nor  
11 was anything brought to me as, you know,  
12 there's some signal submission here that you  
13 need to know about.

14 And I don't have any particular  
15 recollection of any particular conversation  
16 where someone said, you need to be aware that  
17 supplemental data was provided to the Office  
18 of Health Strategy in this particular  
19 application.

20 THE HEARING OFFICER: With that, that was a very  
21 specific response with that. I'm just going to  
22 ask Attorney Monahan if you wouldn't mind moving  
23 on, because Dr. Murphy has indicated a couple  
24 times that he really was unaware of the update and  
25 the numbers.

1 MR. MONAHAN: Absolutely.

2 THE HEARING OFFICER: Perhaps there may be somebody  
3 else that has more knowledge about that?

4 MR. MONAHAN: I can certainly do that. Thank you,  
5 Dr. Murphy, for your patience in that questioning.

6 BY MR. MONAHAN:

7 Q. Dr. Murphy, am I correct that there is a  
8 large cardiology group called Cardiology  
9 Associates of Fairfield County, in the region  
10 that you, that Norwalk Hospital operates in?

11 A. Yes.

12 Q. And isn't it the case that Cardiology  
13 Associates of Fairfield County are community  
14 physicians who have every right to refer  
15 cardiac patients to various hospitals of  
16 their choice.

17 Correct?

18 A. Correct.

19 Q. So if you were to be granted this  
20 application -- regardless of the methodology  
21 that I will ask another witness about -- that  
22 gets you theoretically over the 200, you  
23 cannot control the referrals of those  
24 cardiologies.

25 Correct?

1           A.    That is correct.

2           Q.    Okay.  So to the extent that your volume  
3                depends on complete recapture of all of the  
4                transferred elective PCIs out of Norwalk to  
5                every other hospital, that is not an  
6                assumption that you control.  Am I correct?

7           A.    Again, the nature of the question -- a  
8                complete recapture, I don't believe that  
9                that's built into our numbers, that that  
10              assumption is built into our numbers.

11          Q.    Okay.  So I should ask Dr. Warshofsky about  
12                that?

13          A.    I think you can ask Dr. Warshofsky, or  
14                Dr. Lomnitz.  I -- I suspect that they would  
15                be better informed than I am.

16          Q.    Okay.  All right.  I just have a few more  
17                questions.

18                    I believe it's in the testimony of one  
19                    of the doctors, Dr. Murphy, that there's a  
20                    new cath lab in process that you're building  
21                    for Nuvance -- or is there a new cath lab  
22                    that Nuvance is building?

23          A.    Yes, sir.

24          Q.    And just, does that cath lab bear in any way  
25                with respect to Norwalk Hospital?

1           A.     Yes.

2           Q.     And approximately when was that construction?  
3                   Do you know?

4           A.     I -- I'd be guessing again.  It's -- it's  
5                   nearing completion, but I don't know when it  
6                   actually started.

7  MR. MONAHAN:  Okay.  Dr. Murphy, I really appreciate  
8                your time with me and your patience.

9                And I have no other questions.

10 THE HEARING OFFICER:  Attorney Tucci, do you have any  
11                followup for Dr. Murphy before Attorney Monahan  
12                moves on?

13 MR. TUCCI:  Hearing Officer Mitchell, if I could just  
14                do some brief redirect with Dr. Murphy?

15 THE HEARING OFFICER:  Okay.

16  
17                               REDIRECT EXAMINATION (Murphy)

18  
19  BY MR. TUCCI:

20           Q.     Dr. Murphy, can you hear me okay?

21           A.     Yes.

22           Q.     Dr. Murphy, on behalf of Norwalk Hospital as  
23                   the Applicant in this CON proceeding are you  
24                   asking the Office of Health Strategy to  
25                   ignore or change any of its regulations?

1           A.    No.

2           Q.    You were asked a question about whether you  
3                had ever received a call from one of the  
4                other friendly competitor health systems in  
5                your area, say, for example from Stamford  
6                Hospital or Bridgeport, or St. Vincent's  
7                saying to you communicating to your system in  
8                effect, we can't take another PCI patient.

9                And Mr. Monahan asked you what you  
10              thought about the concept of there being  
11              access to PCI services in the region.

12             Do you understand the difference between  
13             capacity and access?

14          A.    Yes, I do, but I thought the question that  
15                Attorney Monahan was asking me was, had I  
16                ever received a phonecall?

17                That was what I was answering.

18          Q.    Right. And the answer is -- I take it your  
19                experience has been you have not gotten a  
20                call from a competitor saying, don't send us  
21                another patient?

22          A.    Correct.

23          Q.    So the conclusion to be drawn from that is  
24                that your competitors perhaps have capacity  
25                to take patients.



1 Does that necessarily equate to whether  
2 or not your patients will get quick access to  
3 elective PCI care at those institutions?

4 A. It does not.

5 Q. You were asked about questions relating to  
6 transfers of Norwalk Hospital originated  
7 patients to Danbury Hospital. Now Norwalk  
8 and Danbury are part of the same integrated  
9 network platform of care.

10 Correct?

11 A. Yes.

12 Q. And as part of that integrated network of  
13 seamless care, do the two institutions share  
14 an integrated medical record?

15 A. Yeah. Yeah, so -- yeah, I recognize that  
16 there are certainly differences between, at  
17 least in my view, in the risks between  
18 transferring to a sister institution and, if  
19 you will, foreign institution, or one that is  
20 outside of the network because you don't have  
21 access to the same EMR.

22 You don't have access to the same  
23 imaging systems. You use a different  
24 formulary. You don't have the cellphone  
25 number of the interventional cardiologists to

1           whom you can rapidly communicate critical  
2           information. You may have a different system  
3           in place if the patient doesn't speak  
4           English.

5           So there are significant advantages to  
6           in-network transfers that don't exist when  
7           you leave the system. But -- so I didn't  
8           know where Mr. Monahan was going with his  
9           questions, and I wasn't sure that was an  
10          answer he was looking for.

11          I didn't know the facts he was asking  
12          about regarding the specific outcomes of  
13          intra-system patients leaving Norwalk  
14          Hospital.

15          Q. Doctor, one more question? I would like, if  
16          you would bear with me -- if you could refer  
17          to a couple of pages. The first is page 36  
18          of Norwalk's Hospital CON application.

19          If somebody can provide that to you.  
20          And then I'd ask you to look at the  
21          Norwalk Hospital Responses to OHS public  
22          hearing issues list, the document dated  
23          April 15, 2021. In particular, they're not  
24          marked, but there's a third page that shows  
25          at the top a chart entitled, Norwalk Hospital

1 cardiac cath and PCI cases, Trend through FY  
2 '21?

3 A. Yes.

4 Q. Can you put those two pages side-by-side?

5 A. Okay. Yeah.

6 Q. Focusing first on page 36 of Norwalk  
7 Hospital's CON application. Looking at table  
8 four under fiscal year 2017, it lists the  
9 number of primary PCIs actual volume at  
10 Norwalk Hospital.

11 But what is that number?

12 A. Twenty -- 2017 was 73. 2018 was 71. 2019  
13 was 61.

14 Q. All right. Now, direct your attention,  
15 please, to the document that Norwalk Hospital  
16 provided to OHS on April 15, 2021. Look at  
17 the chart at the top of that page.

18 A. Okay.

19 Q. What is the number reported on that chart for  
20 fiscal year '17?

21 A. 73?

22 Q. The same number as reported in the original  
23 application. Correct?

24 A. That is correct.

25 Q. What is the number for fiscal year '18?

1 A. 71.

2 Q. The same number reported in the original  
3 application. Correct?

4 A. Exactly the same number.

5 Q. Fiscal Year '19, what is the number reported  
6 there?

7 A. The same as it was, 61.

8 Q. All right. Now let's look at fiscal year  
9 '20. What number is reported there?

10 A. The second sheet, it's six-zero.

11 Q. Okay. And then you said you've had  
12 experience in being involved in the  
13 submission of CON applications over the  
14 course of your many years involved in health  
15 care.

16 Correct?

17 A. Yes.

18 Q. In your experience, is it unusual or not  
19 unusual for an applicant to submit updated  
20 data to reflect the applicant's most recent  
21 experience concerning the particular service  
22 at issue?

23 A. Yes, I -- I think it is typical.

24 Q. The column that you see on the third page  
25 there reflects the fiscal year '21 actual

1 primary PC numbers of 41 at least through  
2 what's characterized as FP1-6. Right?

3 A. Yes.

4 Q. In your experience in the world of health  
5 care is it unusual for hospital systems to  
6 look at their actual experience over a part  
7 of the year and then project an annual  
8 experience based -- an annualized experience  
9 based on that actual experience?

10 MR. MONAHAN: Okay. I'm going to -- may I object?

11 THE HEARING OFFICER: On what basis?

12 MR. MONAHAN: I was -- after probing this, I was cut  
13 off from the questions because Dr. Murphy had  
14 indicated that he had no real involvement in this,  
15 and I should defer my questions to others.

16 And now we're getting into a more detailed  
17 discussion of the very tables that I was heading  
18 towards that I'm now being -- that I was told that  
19 I could not go into, and I don't think it's  
20 appropriate. It's going beyond the scope of  
21 direct. I was cut off by the very objections of  
22 Attorney Tucci.

23 THE HEARING OFFICER: Attorney Tucci?

24 MR. TUCCI: Yes. Thank you, Hearing Officer Mitchell.

25 It's obviously not beyond the scope of the direct.

1       It's precisely in line with the scope of the  
2       direct.

3               Nor am I asking the Witness to do anything  
4       other than testify about his general experience as  
5       an experienced chief executive officer of a  
6       hospital institution about how hospitals in the  
7       normal course of business project lines of  
8       business. That's all I asked him.

9       THE HEARING OFFICER: So I think we are getting a  
10      little bit into the details of the numbers. I  
11      think that it would probably be more appropriate  
12      to have the other witnesses with more direct  
13      knowledge about how those numbers came about,  
14      respond to those questions.

15      MR. TUCCI: Thank you very much, Hearing Officer  
16      Mitchell.

17               I have no further questions on redirect for  
18      Dr. Murphy.

19      THE HEARING OFFICER: Thank you.

20      MR. TUCCI: And would you mind if we just took a short  
21      break?

22      THE HEARING OFFICER: Yeah. We're running a little bit  
23      long, so we're going to keep it --

24      MR. TUCCI: Five minutes?

25      THE HEARING OFFICER: Yeah. Let me just ask. There

1           was somebody that was going to be testifying from  
2           the Legislature? Is that person available?

3   A VOICE: Not at this time.

4   THE HEARING OFFICER: No? Okay. All right. I just  
5           wanted to make sure that they were not waiting  
6           around.

7           Okay. So we'll go back on the record about  
8           3:43, 3:46.

9   THE WITNESS (Murphy): Am I excused?

10   THE HEARING OFFICER: Let me just -- I don't know that  
11           we have any questions from OHS. Let me just ask.

12   THE WITNESS (Murphy): I can wait. No, no. I -- I  
13           don't want to pressure anybody.

14   THE HEARING OFFICER: So Dr. Murphy, I'm thinking our  
15           questions may need to go to the other witnesses.  
16           Let me just confer with Ms. Rival and Mr. Carney.

17           I think our questions go to the other  
18           witnesses. Correct? Then we can let Dr. Murphy  
19           go? Jess is nodding.

20   MR. MONAHAN: Would that be the same for Ms. Silard?

21   THE HEARING OFFICER: I think so too. Yeah, I think  
22           we're all set with --

23   MR. CARNEY: Attorney Mitchell, I think we have one  
24           question for Dr. Murphy, that I was aware of.

25   THE HEARING OFFICER: All right. So why don't we take

1 a five-minute break.

2 And then let me ask Attorney Monahan. Do you  
3 mind if we ask our question of Dr. Murphy? I know  
4 we're kind of getting into, you know, I don't like  
5 to interrupt people while they're doing their  
6 cross because you kind of get --

7 MR. MONAHAN: I have no objection. I you need to step  
8 out of order, that's fine.

9 THE HEARING OFFICER: Okay. All right. So we'll come  
10 back in five minutes. Then after, after we ask  
11 your question, Dr. Murphy, you can go.

12 And then also Ms. Silard can also go, too.

13 MR. MONAHAN: Thank you.

14 THE HEARING OFFICER: Thank you. All right. So let's  
15 just come back on the record at 3:40.

16  
17 (Pause: 3:35 p.m. to 3:50 p.m.)

18  
19 THE HEARING OFFICER: All right. We're going to go  
20 back on the record. Is everybody ready?

21 MR. TUCCI: Yes, for the Applicants.

22 THE REPORTER: The Court Reporter is ready.

23 THE HEARING OFFICER: Okay, Applicants.

24 And the Intervenor is ready also?

25 MR. MONAHAN: Yes.



1 THE HEARING OFFICER: All right. So Dr. Murphy, I did  
2 confer with my colleagues and we had one question  
3 for you based on your prefiled testimony. I'm  
4 going to pull it up, and I'll read it.

5 It says on page 31 of your prefiled testimony  
6 you stated that unnecessary transfers also reduced  
7 Norwalk Hospital's ability to coordinate care and  
8 manage its cardiovascular patient population.  
9 While some patients may be transferred to Danbury  
10 Hospital for elective PCI, other patients are sent  
11 out of network -- sent to out of network providers  
12 that may not know the patient's histories, et  
13 cetera.

14 So I've heard you talk about this in  
15 questioning by Attorney Monahan, but we have just  
16 a couple more questions for you. And we wanted to  
17 know first -- and I'll just do them one by one.  
18 What are some of the reasons why a patient would  
19 be transferred to an out-of-network provider  
20 versus maybe Danbury Hospital?

21 THE WITNESS (Murphy): Well, it could be that a  
22 relationship that exists. It could be a patient  
23 preference, a preference of the physician, a  
24 preference of the patient, a preference of the  
25 family member. There are a number of

1           circumstances that would influence the ultimate  
2           destination.

3   THE HEARING OFFICER:   Do you know about what proportion  
4           of patients are transferred out of network?

5   THE WITNESS (Murphy):   I -- I do not know the answer to  
6           that question.

7   THE HEARING OFFICER:   And then I think one of the  
8           things that we wanted to know is if you could help  
9           us understand how these out-of-network transfers  
10          hinder Norwalk Hospital's ability to participate  
11          in alternative payer models?

12   THE WITNESS (Murphy):   Sure.   As you know, the  
13          alternative payment models really are moving away  
14          from fee for service where the patient shows up  
15          and whatever services they receive they get billed  
16          for, to a different model which is fee for  
17          value -- which both the quality outcome and the  
18          cost of that care, the responsibility and the  
19          accountability shifts to the provider.   And those  
20          payment models have been in place and are growing  
21          in popularity.

22               And they are believed -- certainly the state  
23               and federal governments believe that it is through  
24               those value-based arrangements that we will  
25               ultimately improve quality and reduce the cost of

1       care.

2               So what happens is if you send somebody from  
3       Norwalk Hospital for an elective PCI to another  
4       facility. It's conceivable that that other  
5       facility doesn't participate in that particular  
6       insurance plan, let's say, or while the hospital  
7       may, the cardiologist may not, an anesthesiologist  
8       may not. They may have a different formulary that  
9       doesn't anticipate the particular insurance.

10              Or for that matter, in some circumstances  
11       based upon, you know, where the patient goes, if  
12       it goes out of state there can be state plans that  
13       become a problem.

14              And as I'm sure you're aware, Hearing Officer  
15       Mitchell, the -- the whole notion of surprise  
16       billing, you invite that possibility at times when  
17       somebody shows up to do an emergency procedure.  
18       After the procedure is done, you know these  
19       patients don't really have the opportunity really  
20       to shop for services.

21              They get a big bill and the patient is  
22       exposed to significant out-of-pocket expenses or  
23       co-pays, or you know, major financial exposure  
24       because those coordinated efforts do not take  
25       place.

1           And you know, the whole notion of a bundled  
2           payment, for instance, is there's an impetus for  
3           the institution that has signed up for that  
4           bundled payment to say, we're going to take care  
5           of that patient. No matter what it takes we'll be  
6           held accountable for the quality outcomes as well  
7           as the cost.

8           So it forces us to be as efficient with the  
9           services that we provide as we can be. We lose  
10          control over all of those decisions when the  
11          patient leaves the network.

12       THE HEARING OFFICER: Okay. And then is there a way to  
13          quantify how these transfers might hinder  
14          participation in EPNs?

15       THE WITNESS (Murphy): I'm sure there is. I -- I  
16          couldn't give it to you, you know, as I sit here  
17          with any degree of confidence, but there's no  
18          question when -- if you look at, you know, we have  
19          tens of thousands of patients who are in at-risk  
20          models, and we -- and the State knows this and has  
21          encouraged us to continue to increase our  
22          participation in those alternative payment models.

23          When the patients do leave the system we do  
24          find that that is in fact where the expenses take  
25          off and that is a significant exposure that is

1       difficult to manage when you're in a bundled  
2       payment.

3               We also have challenges sometimes in getting  
4       the data back on what the quality outcome was,  
5       the -- a different EHR system. It has different  
6       ability -- abilities to report back on particular  
7       outcomes.

8               So it is -- it's cumbersome. It's -- it's  
9       awkward. It's inconvenient, but I will tell you  
10      that it represents potentially a quality concern,  
11      but undoubtedly a financial concern.

12   THE HEARING OFFICER: All right. Thank you,  
13      Dr. Murphy, for those responses.

14              Let me just check in with Mr. Carney and  
15      Ms. Rival.

16              Any additional questions from us you think  
17      that maybe I might have missed?

18   MR. CARNEY: That was the only one I had.

19   THE HEARING OFFICER: All right. Jessica, we're all  
20      set? Okay. So that was it from us. I'm just  
21      going to follow up again with Attorney Monahan and  
22      also Attorney Tucci.

23              Any followup for Dr. Murphy?

24  
25                               (No response.)

1 THE HEARING OFFICER: If not, hearing nothing I think  
2 we're all set, Dr. Murphy.

3 THE WITNESS (Murphy): Okay. Thank you very much.

4 MR. MONAHAN: May I just ask one -- I'm sorry. One  
5 last question.

6 THE HEARING OFFICER: All right.

7 MR. MONAHAN: Just on an EPN question.

8  
9 RECROSS-EXAMINATION (Murphy)

10  
11 BY MR. MONAHAN:

12 Q. On how many EPNs do you participate in? And  
13 how much money is at risk, as you just  
14 described?

15 A. How much money is at risk? We have --

16 Q. Mute -- you're on mute. I'm sorry.

17 A. Pardon me. We have about 40,000 patients who  
18 are currently under some form of risk  
19 arrangement.

20 I -- I don't know that the total sum of  
21 dollars based on, you know, there are --  
22 there are Medicare participants. There are  
23 commercial participants. There are even some  
24 Medicaid pilots that we're looking at, some  
25 national, some state specific, but it would

1                   be hard for me to give you a solid number,  
2                   Attorney Monahan.

3   MR. MONAHAN:   Thank you, I appreciate that.   Okay.

4   THE HEARING OFFICER:   Attorney Tucci, any followup?

5   MR. TUCCI:   No, thank you, Hearing Officer Mitchell.

6   THE HEARING OFFICER:   All right.   Thank you again,  
7                   Dr. Murphy.

8   THE WITNESS (Murphy):   Okay.   Thank you very much.

9   THE HEARING OFFICER:   All right.   So I'm going to turn  
10                  it over to you, Attorney Monahan.

11   MR. MONAHAN:   Before we do that, Hearing Officer  
12                  Mitchell, are there any group questions for the  
13                  CEO and president Ms. Silard?   Or may she be  
14                  excused, I think, from the panel?

15   THE HEARING OFFICER:   Right.   So, no.   We don't have  
16                  any questions for her.

17   MR. MONAHAN:   Just so there's no -- she may be excused?

18   THE HEARING OFFICER:   Yeah.

19   MR. MONAHAN:   Okay.   Thank you very much.

20   THE HEARING OFFICER:   You're welcome.

21   MR. MONAHAN:   Doctor -- I want to pronounce it  
22                  correctly.   I apologize.   Warshofsky?

23                  Warshofsky, I call Dr. Warshofsky for  
24                  cross-examination.

25   THE WITNESS (Warshofsky):   Good afternoon.

1 MR. MONAHAN: Good afternoon. Really hopefully just a  
2 few questions.

3 One is there, there were a number of  
4 questions regarding different medical literature  
5 from this whole application process, and in  
6 connection with that there were references to the  
7 2011 consensus document by the -- and I want to  
8 get the exact acronyms, ACH -- excuse me, the  
9 American Heart Association, the --

10 Give me one moment, please. I just want to  
11 get my -- okay. I apologize.

12  
13 CROSS-EXAMINATION (Warshofsky)

14  
15 BY MR. MONAHAN:

16 Q. There were several discussions about the  
17 literature and guidelines published by the  
18 ACCF, AHA and the SCAI consensus documents in  
19 2011, 2013 and then in 2014.

20 And my question is, do you recognize and  
21 see the 2014 best practices -- or  
22 recommendations, I should say, of that  
23 consensus group from 2014 as a current  
24 state -- excuse me, a current guideline that  
25 is not superseded, not eradicated, and not



1           abolished?

2           A.    Yes, I see the 2014 guideline as current.  
3                And -- and I would emphasize that it's a  
4                guideline, not a policy.

5           Q.    Thank you.  Would you -- and I believe you  
6                may have heard testimony from today on this.  
7                In connection with the fact that elective  
8                PCIs presently at Norwalk Hospital are  
9                transferred because you can't do that, they  
10              are sent to other hospitals for that  
11              procedure.

12                    Do you, as you sit here, believe that  
13                    there is sufficient access were those four  
14                    hospitals to accommodate the transfer of any  
15                    elective PCI patients that you have  
16                    encountered to date?

17           A.    No, I don't believe that.

18           Q.    And what is the basis for your belief that  
19                those four hospitals cannot accommodate the  
20                elective PCIs that you would be transferring  
21                them to date?

22           A.    Well, I -- I guess it would depend on how you  
23                define sufficient access, but I look at this  
24                from the patient standpoint.  And then I  
25                would be quite upset if I were a patient or a

1 family member of a patient to be transferred  
2 for something that really is not necessary.

3 So although ultimately the patient may  
4 receive the, what we're terming an elective  
5 PCI, the fact that they had to endure a  
6 transfer and that the family may or may not  
7 have been able to go visit them at the  
8 receiving hospital, for me is not sufficient  
9 access.

10 Q. Well, I understand. I appreciate your  
11 personal opinion, but right now you  
12 understand under the law you cannot  
13 perform an elective PCI at Norwalk Hospital.

14 Correct?

15 A. Correct. Under the law we cannot.

16 Q. So that if a patient says to you, oh, I'm  
17 disturbed by this. Are you telling me that  
18 you're saying, well, then you had no access?

19 Or are you saying they have access, and  
20 now here are the places you can go within the  
21 30-mile/30 minute time period?

22 That's my question. Can they get the  
23 procedure done within a timely manner even  
24 though you can't do it?

25 A. What I am saying to the patient is, I am

1           sorry. At this time we're not able to  
2           provide this service for you here at Norwalk  
3           Hospital. We'll have to transfer you. Where  
4           would you like to go?

5           And they may say to me, but my neighbor  
6           got the same procedure here. And I would say  
7           to them, but your neighbor came in with a  
8           STEMI. And we were able to do that, but  
9           we're not able to provide, quote, unquote,  
10          elective PCI for you.

11        Q.    Okay. And then you wouldn't abandon them.  
12            You'd send them to one of the four hospitals.

13            Right?

14        A.    No, we would not abandon them. We would find  
15            a place to care for them. That's correct.

16        Q.    Okay. And you have been able to find a  
17            place. There has been satisfaction of that  
18            need. Even though you don't like it, there  
19            has been satisfaction of that need for you to  
20            get those patients to those other hospitals?

21        A.    I mean, Attorney Monahan, you know, we're --  
22            the way you describe this it sounds like an  
23            ideal world out there, but you and I know  
24            that there are nights when it's cold, when  
25            it's freezing, when it's snowing, when the

1 traffic is backed up.

2 And so you know, it's not something  
3 that's always done very easily. And as I  
4 think I mentioned earlier, in the midst of a  
5 COVID pandemic sometimes you do get an answer  
6 where you know what, we're just too crazy  
7 right now. We can't take that patient.

8 Q. All four hospitals at the same time have said  
9 that to you?

10 A. I didn't say that.

11 Q. Well, what I'm really trying to understand,  
12 Doctor -- because you seem to be saying that  
13 there is a restriction, and I don't want to  
14 put words in your mouth. But you're under  
15 oath, and I want to know whether there are  
16 four hospitals within your region that you  
17 can transfer elective PCI patients to.

18 Are you telling me that you are unable  
19 to transfer patients in need of those  
20 elective services to any one of those four at  
21 any given time?

22 A. If you're asking me, is there capacity in the  
23 area to say, okay, somebody somewhere can do  
24 this PCI? I would say that there is  
25 capacity.

1 Q. Thank you.

2 A. But when you think about access, that's a  
3 different story. And I think access is  
4 limited at times, certainly.

5 Q. All right. Well, I suppose we can let the  
6 Office of Health care Strategy decide whether  
7 capacity and access, how to judge that under  
8 the legislative standard whether there's an  
9 unmet need.

10 And lastly, were you in charge of  
11 creating the methodology, or retaining the  
12 methodology for both in the original  
13 application and in the prefiled testimony  
14 answers to questions supplementing the  
15 projections of elective PCIs?

16 A. I participated in that process. I wouldn't  
17 necessarily say I was in charge of it.

18 Q. Can you point me to any benchmark studies,  
19 statistical sampling methodology or outside  
20 consultant that you used to come up with that  
21 analysis that led you to the supplement?

22 A. No. There was no outside entity that led us  
23 to that. It was really an evolutionary  
24 process.

25 I think as Dr. Murphy described earlier,

1           it's pretty common in CON applications. And  
2           we, when we looked at our FY '21 numbers we  
3           certainly were interested to see that the  
4           annualized number was about 80, a little bit  
5           over 80 STEMIs, which when we think about  
6           it -- and again, we talked about this a  
7           little bit earlier, whether it's a  
8           four-to-one ratio or an eight-to-one ratio,  
9           we would be well over the 200 threshold.

10           And I -- I believe that's borne out even  
11           by Stamford's own numbers, which I think had  
12           less STEMIs than Norwalk, but had --  
13           certainly I think over 200 PCIs.

14       Q.    Okay. And you said you were a participant.  
15           Who were the other participants in putting  
16           that methodology together?

17       A.    Well, I don't know about -- I don't -- I  
18           don't understand what you mean by  
19           methodology. We -- we looked at our numbers  
20           and they are what they are.

21       Q.    I guess I'm sorry if I'm -- who is the we?

22       A.    The team, our strategy team, Sally Herlihy.  
23           I think you heard her mentioned, her name  
24           earlier. Kelli Stock who is the Vice  
25           President for the Heart and Vascular

1                   Institute at Nuvance, and some of our finance  
2                   team as well.

3   MR. MONAHAN:   Excuse me, Ms. Mitchell.   May I have one  
4                   moment?

5   THE HEARING OFFICER:   Yes.

6   MR. MONAHAN:   Okay.   No more questions.   Thank you.

7   THE HEARING OFFICER:   All right.   Any followup,  
8                   Attorney Tucci?

9   MR. TUCCI:   No, thank you, Hearing Officer Mitchell.  
10                  No questions.

11   THE HEARING OFFICER:   All yours.   All yours, Attorney  
12                  Monahan.

13   MR. MONAHAN:   Thank you.   If Dr. Yekta Is available?  
14                  Hi, Doctor.

15   THE WITNESS (Yekta):   Hello.

16   MR. MONAHAN:   One minute to turn some pages.

17  
18                               CROSS EXAMINATION (Yekta)  
19

20   BY MR. MONAHAN:

21               Q.   Doctor, similarly -- well, first of all, what  
22                   is your -- and I apologize.   And I know you  
23                   said this in your testimony, but what is your  
24                   specialty?

25               A.   I'm an interventional cardiologist.

1           Q.    And do you recognize the 2014 consensus  
2               document that I referenced just before the  
3               previous testimony as the most current  
4               consensus document with a recommendation of a  
5               best practice of a minimum threshold of 200  
6               PCIs for a facility without on-site surgery?

7           A.    So yes, that document from 2014 does relate  
8               to elective PCI stents, also is without  
9               cardiothoracic surgery backup.

10                   And in response to your numerical  
11                   comment, it does state that it is recommended  
12                   and is -- again, it is a guideline that 200  
13                   PCIs should be strived to achieve, but there  
14                   was also a comment in there about if labs are  
15                   unable to get to that 200 threshold, annually  
16                   they can have, quote, unquote, stringent  
17                   systemic and process protocols in place with  
18                   close monitoring of critical outcomes and  
19                   additional strategies that promote adequate  
20                   operation of catheterization laboratory;  
21                   staff expertise throughout -- through  
22                   collaborative relationships with larger  
23                   volume facilities which is --

24                   So again, my point in emphasizing that  
25                   is that the number of 200 is there, but it



1           also acknowledges that 200 may not be an  
2           absolute number that has to be present for  
3           all facilities.

4           Q.   How long have you been with Nuvance -- I  
5           apologize. How long have you been in your  
6           position?

7           A.   In my --

8           Q.   Your current position?

9           A.   I've been there for about two years now,  
10          approximately two years.

11          Q.   Have you had any experience before today in  
12          or surrounding the CON process for the State  
13          of Connecticut?

14          A.   I have not. I have not been part of the CON  
15          application prior to this process.

16          Q.   And aside from the written testimony you  
17          provided, did you participate in any type of  
18          research or calculations, or any type of work  
19          that went into the actual substance of the  
20          application?

21                   Or any supplemental bylines?

22          A.   No. One of the reasons why I wore my scrubs  
23          today is thinking I wasn't a numbers person.  
24          So I was not involved in the numerical  
25          evaluation of the program or the -- or the

1 PCI volumes.

2 Q. Okay. And lastly, do you have -- and I think  
3 you just answered it, but just to be sure, do  
4 you have any experience in extrapolation of  
5 data -- well, let me just point you to your  
6 testimony.

7 You do refer to extrapolating transfer  
8 data to an annualized projection when  
9 compared with current primary guideline  
10 trends, fiscal year 2021. And the fact that  
11 transfer data doesn't capture all  
12 eligible permutations to go elsewhere for  
13 elective PCIs shows that there is more than  
14 sufficient volume for Norwalk Hospital to  
15 support a primary and elective PCI service in  
16 accordance with national guidelines.

17 And that's on page -- it's not numbered  
18 but let me get it.

19 It's at the bottom of page 4 of your  
20 written testimony.

21 A. So if you don't mind, just repeat from where  
22 you read to --

23 Q. Sure. On the bottom of that page I read from  
24 the fifth line up starting on the word  
25 "extrapolating."

1           A.     Okay.

2           Q.     And the reason -- well, I'll let you read it  
3                   and then I'll ask the question.

4           A.     Sure. All right.

5           Q.     The reason I ask the question is, as you just  
6                   explained that you're not a numbers person,  
7                   how is it that you -- you started voicing  
8                   then and have voiced an opinion on  
9                   extrapolation and volume trends, and things  
10                  of that sort?

11          A.     Because one of the things, you know, in my  
12                  position, you know, we have had numerous  
13                  inspections here at Nuvance in regards to  
14                  what our transfer volumes have been in  
15                  addition to the data in terms of our primary  
16                  PCI volume.

17                 So if you, you know, as an organization  
18                 we've come to realize -- the realization  
19                 bringing those numbers together, that we  
20                 should be able to achieve more than 200 PCIs.  
21                 And this is just inpatient volume that we're  
22                 talking about. We're not even including any  
23                 outpatient elective PCI.

24                 So that's where we came to put that  
25                 data, or that -- where I extrapolated from

1           that data.

2           Q.    Okay.  And then if you -- the same question  
3                asked before.  When you say, we, was there a  
4                group of you that put your heads together to  
5                do that?

6           A.    Was there a group?  So there is a group of --  
7                of people here and the data is sometimes, you  
8                know, as I'm presented to the data -- but I'm  
9                not part of the -- the committee that  
10               formulates that data, so I can't really help  
11               you there, but I'm not really part of that  
12               group specifically.

13          Q.    Okay.  Thank you.

14                I do have one more question and I want  
15                to go back to the completeness responses  
16                which deal with the transfer of elective,  
17                present transfer of elective PCI cases from  
18                Norwalk Hospital.

19                I'm looking at page 6 of 7 on the  
20                completeness questions.  And this -- it's  
21                number six and it says, provide the number of  
22                patients within the primary service area that  
23                are transferred from Norwalk Hospital to  
24                Danbury Hospital.  And of those patients  
25                transferred, provide the number of patients

1                   who received an elective PCI post transfer.

2                   Do you see where I'm referring to? And  
3                   I'll give you time to get there.

4           A.    I do.

5           Q.    And there's an OHS table one, patient  
6                   transfers from Norwalk Hospital to Danbury  
7                   Hospital and post transfer elective PCIs.

8                   Do you see that?

9           A.    I do.

10          Q.    Do you see that it's approximately -- well,  
11                   at 34 percent. Of all these patient  
12                   transfers it's about a one third  
13                   percentage -- or one third of the total  
14                   transfers that end up having elective PCIs.

15                   Do you see that?

16          A.    Roughly.

17          Q.    What's the explanation for that?

18          A.    I'm not part of any of these cases, so I  
19                   can't explain that to you. I mean, I don't  
20                   know how you -- how you want me to answer  
21                   that question.

22                   I mean, I -- I don't know how to answer  
23                   that question. You know, pieces are done on  
24                   an individual basis, so when a patient gets  
25                   transferred and cardiac cath and if they

1           decided to get an elective PCI, it's on a  
2           case-to-case basis. So I can't do any of  
3           those. So I can't explain that.

4           Q.    Okay. Are you often part of the decision to  
5           make the transfer?

6           A.    Oftentimes, yes.

7           Q.    And do you often get involved in the decision  
8           to make transfers of patients from Norwalk  
9           Hospital to hospitals other than Danbury  
10          Hospital?

11          A.    We always ask the patient what their  
12          preference is, and if they decide to. Again  
13          we don't try to convince patients to go one  
14          way or the other. If they have a strong  
15          preference for one hospital or the other, we  
16          do. I certainly acknowledge that.

17          Q.    And I'm not asserting that you don't. I was  
18          just trying to understand if -- just the way  
19          you're structured if that's -- if that is  
20          what, you know, it's not just Danbury that  
21          you're focused on.

22                It could be any of the hospitals that  
23          can absorb a transfer from Norwalk Hospital.  
24          You could be involved in that process?

25          A.    I can be involved, but you know, the one

1           thing is a patient, you know, once the  
2           patient meets the physician oftentimes they  
3           want that physician to be their provider.

4           So I do not provide services at other  
5           hospitals outside of Danbury Hospital and  
6           Norwalk Hospital. So it would have to be a  
7           change in their cardiac care if they were  
8           transferred. So they have to see different  
9           interventionalist, different cardiologist,  
10          different hospitalist, different nurse,  
11          different PCA.

12          So that is part of that equation.

13        Q.   And do you often deal with the community  
14           physicians that -- or the community  
15           cardiologist that may be the attending  
16           physician for any of these patients?

17        A.   Of course. I think that's a natural part of  
18           my job to deal with referring physicians.

19        Q.   Okay. So -- and in those cases is it your  
20           experience that the attending physician  
21           provides some continuity of care with respect  
22           to the patient and their transfer to a  
23           different hospital?

24        A.   So are you in reference to the general  
25           cardiologist that you're talking about?

1 Q. Yes.

2 A. Yes, absolutely. So I mean, they do provide  
3 some continuity of care, sometimes in the  
4 hospital, but sometimes not in the hospital  
5 as well.

6 MR. MONAHAN: Thank you for your time and I have no  
7 other questions.

8 THE HEARING OFFICER: Followup, Attorney Tucci?

9 MR. TUCCI: No questions for Dr. Yekta. Thank you,  
10 Hearing Officer.

11 THE HEARING OFFICER: All right. Thank you, Dr. Yekta.

12 THE WITNESS (Yekta): Thank you.

13 MR. MONAHAN: Just one moment, please?

14 Dr. Lomnitz, if I may?

15  
16 CROSS-EXAMINATION (Lomnitz)

17  
18 BY MR. MONAHAN:

19 Q. Hello, Doctor.

20 A. Hello.

21 Q. How are you?

22 A. Good, good. How are you?

23 Q. Okay. Thank you.

24 And Doctor, sir, I understand your chief  
25 of cardiology at Norwalk Hospital. Am I



1 correct.

2 A. That's correct.

3 Q. Okay. One of the, you know, the questions  
4 you've heard over and over again is -- and  
5 I'd like to ask you as a cardiologist is, do  
6 you view the consensus document published in  
7 2014 by the three societies that I have  
8 mentioned that recommends the 200 minimum  
9 threshold for facilities that do the elective  
10 PCI that do not have surgical back up -- do  
11 you view that and see that as the existing  
12 consensus guideline that has not been  
13 abolished, retracted or in any way vacated?

14 A. Well, you know, I have experience with  
15 clinical epidemiology and -- and the  
16 statistics and the guidelines have a  
17 different level of evidence. The highest  
18 level of evidence comes from randomized  
19 clinical controlled trials, prospective.

20 The lowest form of evidence comes from  
21 registry, and the reason for that is that  
22 when you rely on registry data there's lots  
23 of confounders that can trip you up. And the  
24 people who wrote the guidelines were very  
25 wise because they're not relying on

1 randomized controlled trials that determine  
2 that 200 was the number. What they were  
3 relying on was registry data.

4 So in the interests of making sure that  
5 any program that is doing PCI is doing it in  
6 the highest quality fashion, should do it and  
7 meet their standards, which not only includes  
8 following data, but making sure that there's  
9 good quality assurance programs, oversight,  
10 and the like.

11 And I think that the 200 PCI number  
12 comes from a signal from registry data that  
13 comes from the early 2000s. And I think that  
14 in our case we -- we believe we're going to  
15 be over 200. I'm confident we'll be over  
16 200, but what I can assure you is our  
17 commitment to a high quality program.

18 We are in partnership with Cleveland  
19 Clinic, considered by U.S. World News and  
20 Report the number one cardiac hospital in the  
21 nation. They'll be working with us with our  
22 network in Danbury and with us in Norwalk.  
23 And I can assure you that no one here wants  
24 to be associated with anything but the  
25 highest quality program.

1 Q. And I certainly respect that for you, Doctor.  
2 And what -- I guess, what I was trying to  
3 understand is in the world of evolving  
4 medical literature, medical guidelines and  
5 the studies, at some point medical  
6 guidelines, I suppose, will or do change, but  
7 the medical recommendation of that consensus  
8 group as of today, at 200 thresholds -- in  
9 addition to the various studies that you've  
10 talked about, but that number still is in  
11 place and hasn't been displaced by the  
12 cardiology community?

13 A. I think as part of a holistic approach, that  
14 is part of the holistic approach. It's not  
15 the only approach to determining a quality  
16 program.

17 Q. Fair enough. In your testimony, you refer to  
18 there being a regulatory barrier preventing  
19 Norwalk hospital from obtaining an elective  
20 PCI, or the ability to perform elective PCI  
21 for its patients.

22 If you need me to refer you to the page,  
23 it's the second page of the document.

24 What did you mean by, regulatory  
25 barrier? It's down near the bottom of

1                   page -- under section one. It's about four  
2                   lines up.

3           A.   Well, I think that, you know, it's clear that  
4               Connecticut requires a certificate of need  
5               for certain services. Elective PCI at a  
6               hospital without surgical backup falls under  
7               that, and we currently don't have a CON for  
8               that service.

9           Q.   Okay. And that's what you see as the barrier  
10              at this moment that you are having to  
11              overcome in this application?

12          A.   That's why we're here.

13   MR. MONAHAN: I have no other questions. Thank you.

14   THE HEARING OFFICER: Any followup, Attorney Tucci?

15   MR. TUCCI: No thank you, Hearing officer. No followup  
16              for this Witness.

17   THE HEARING OFFICER: All right. Anything additional,  
18              Attorney Monahan?

19   MR. MONAHAN: Well, as far as cross-examination? No.

20              And I don't -- I didn't know whether closing  
21              remarks on the agenda means closing remarks from  
22              lawyers, or that's just closing remarks by the  
23              panel.

24              So nothing else for me, but I do have one  
25              request to make before the end of the hearing.

1 THE HEARING OFFICER: Absolutely. I'll give you a  
2 chance. I'm actually going to ask that we take a  
3 little five-minute break so I can confer with my  
4 colleagues, because we have a few questions that  
5 we want to ask that some of the attorneys in this  
6 hearing didn't touch on -- and some of them,  
7 actually you did.

8 So we just want to make sure that we are  
9 ticking off the list of questions that we have,  
10 what's already been discussed, and we want to make  
11 sure that we get the other things that have not  
12 been discussed.

13 So maybe if we could have five minutes until  
14 4:40? We'll come back and we'll ask our  
15 questions, and then we'll go to closing  
16 statements.

17 Let me just ask, is there anybody here that  
18 has signed up for public comment? Anybody from,  
19 you know, any public officials, anything like  
20 that?

21  
22 (No response.)

23  
24 THE HEARING OFFICER: Okay. No. Okay. So we'll come  
25 back on at 4:40.

1 (Pause: 4:33 p.m. to 4:53 p.m.)

2  
3 THE HEARING OFFICER: All right. So we are going to go  
4 back on the record. We're going to start with  
5 OHS's questions.

6 I think we're going to request some late  
7 files. I will see if there's anybody that wants  
8 to render a public comment. If not, I'll make an  
9 announcement about that, and then we'll go to  
10 closing comments.

11 All right. So Brian, you want to take it  
12 away?

13 MR. CARNEY: Sure. Good afternoon, everyone. Thank  
14 you for answering my questions.

15 The general question for the applicant to  
16 begin with, let me just preface it by saying, you  
17 know, a lot of the information has been submitted  
18 through the application, through prefiled  
19 testimony and heard today in testimony, but I just  
20 want to sort of ask, like, sort of one more time  
21 to get, sort of, your top reasons for the request  
22 for this proposal. So let me go ahead and ask the  
23 question.

24 So given that elective PCIs are scheduled  
25 procedures, the volumes you have reported on page

1 7 of the prefiled testimony show mostly declining  
2 volumes and there are four other elective  
3 PCI-capable hospitals in the area. Why is there a  
4 need for a new elective PCI program at Norwalk  
5 Hospital?

6 So again, maybe you give me the top three,  
7 you know, five reasons why you think it's  
8 appropriate?

9 A VOICE: Would Dr. Murphy or Dr. Warshofsky like to  
10 answer this question?

11 THE WITNESS (Warshofsky): Hi. Yes, here I am. So  
12 thank you for that question. I think that what we  
13 have seen in terms of volumes for PCI in our  
14 system, has actually been increasing volumes for  
15 PCI not dramatically, but certainly we have seen  
16 some increasing volumes.

17 And when we look at the last six months of  
18 this fiscal year we have seen certainly an  
19 increase in our STEMI volumes and an increase in  
20 other volumes, volumes related to cardiovascular  
21 disease. We have recently brought on two  
22 cardiologists to our group in Norwalk largely  
23 because we saw a need that was not met, and that  
24 has led to increasing volumes for  
25 electrophysiology and for other procedures within

1       our cath lab.

2               And -- and so when we think about kind of  
3       overall volume trends, we -- we have to be careful  
4       not to make that a reason to not look at more  
5       specific areas and specific needs.

6               And I think the other reason -- or one of the  
7       other reasons that we're making this application  
8       is because we know that we can deliver this care  
9       safely. And the thought of transferring patients  
10      without a real true need to transfer them is not  
11      good medical care, frankly.

12              And when we think about elective PCI -- and  
13      you mentioned that elective PCI was a scheduled  
14      procedure, I think again I would emphasize that  
15      the patients that we're talking about are -- or at  
16      least I would say a majority of the patients that  
17      we're talking about are not patients who are well,  
18      and scheduling something like an office visit --  
19      they are patients who are admitted to the hospital  
20      who are in need of, actually an urgent procedure  
21      and some of them are scheduled and some of them  
22      are not scheduled.

23              And most of the transfer patients,  
24      unfortunately for them they are not scheduled.  
25      They're added on, because they're coming as an --



1 as an add-on to the receiving hospital's schedule.

2 So they tend to be done later in the day  
3 and -- and actually have a much poorer experience  
4 overall, I would say, than one who, let's say, is  
5 admitted to the hospital and is scheduled for the  
6 first case the next day.

7 MR. CARNEY: Okay. Thank you, doctor. Kind of in  
8 coordination with that, I know you gave the  
9 initial estimates in the application and the  
10 prefiled testimony. Those numbers have increased.  
11 I'm still not fully clear on the exact numbers you  
12 are projecting now and how you arrived at those  
13 numbers.

14 So if you can -- and if not -- and we  
15 probably would need to get this in writing  
16 as well -- describe in detail the methodology you  
17 used to arrive at the new projection that Norwalk  
18 Hospital performed well in excess of 200 PCIs and  
19 cite evidence to support your findings.

20 THE WITNESS (Warshofsky): Sure. So again, I want to  
21 emphasize that we were conservative on our initial  
22 estimates. We are certainly cognizant of the fact  
23 that many patients who could be -- could undergo a  
24 cardiac catheterization to look for coronary  
25 artery disease, who are in Norwalk's service area

1 or sometimes even in Norwalk Hospital don't  
2 undergo that particular procedure because if they  
3 needed a PCI they wouldn't be able to get it at  
4 Norwalk Hospital.

5 So the numbers of diagnostic cardiac caths  
6 are, I would say, pretty, pretty grossly under  
7 what would be happening if we did have an elective  
8 PCI program.

9 That the numbers again for the last six  
10 months of this fiscal year in terms of STEMI are,  
11 I think, very informative. The data that I would  
12 say to back up the estimates of over 200 cases --  
13 which and again, this is kind of an evolutionary  
14 process for me in terms of seeing the data and --  
15 and learning, frankly, a little bit about those  
16 ratios that are reported in the literature;  
17 whether they be the, you know, eight-to-one ratio  
18 that the Seaport trial reported on, or even our  
19 own State's data that would say the ratio is at  
20 least, you know, a three-to-one, four-to-one  
21 ratio, if not more.

22 So when we think about the burden of coronary  
23 disease in the Norwalk service area and we look at  
24 the numbers of patients who are presenting with  
25 STEMI, and extrapolate that based on what we know

1 is in the literature on estimates -- or actually,  
2 not estimates, but real data when you compare the  
3 numbers of elective PCI versus the numbers of  
4 STEMI, that's where we get that number from.

5 MR. CARNEY: All right, Doctor. Let me just follow up  
6 with that, because Dr. Martin had said something a  
7 little bit different, in fact, stating that  
8 cardiac caths were a better indicator of who would  
9 require a PCI.

10 So is there any documented evidence to  
11 confirm the relationship between either, you know,  
12 cardiac caths or primary PCI to that of projected  
13 elective PCI volume?

14 THE WITNESS (Warshofsky): You know, some of it depends  
15 on -- on the population and -- and what one is  
16 getting a cardiac catheterization for. Some  
17 cardiac catheterizations are not done for acute  
18 coronary syndromes in anticipation of PCI.

19 Some are done for valvular disease in the  
20 rate of PCI in those patients certainly would be  
21 much lower, but I want to go back to what I was  
22 saying before because I want to make it clear. It  
23 is really frankly disingenuous to say because  
24 Norwalk Hospital's cardiac cath volume is low,  
25 that that's a reason that their PCI volume would

1 be low.

2 And again, the reason for that is if we have  
3 an inpatient here who we have a high suspicion is  
4 going to need a PCI, we won't even do that cardiac  
5 catheterization here unless the patient really  
6 says, you know what? I'll undergo the two  
7 procedures. I want to have it here. So those  
8 patients are transferred out before they even have  
9 a cardiac catheterization.

10 And similarly on the ambulatory side, if  
11 there's a patient in the office with a markedly  
12 positive stress test that you anticipate is going  
13 to need a PCI, those patients are done at another  
14 hospital and leave the -- and leave the community.

15 MR. CARNEY: All right. Attorney Mitchell, we're going  
16 to talk about the late files later. Okay. All  
17 right.

18 THE HEARING OFFICER: Yes, we will.

19 MR. CARNEY: Next question. Page 37 of the application  
20 you provide projected utilization by service.  
21 Describe how you determined these projected  
22 cardiac cath volumes were expected to increase  
23 more than twofold between 2020 and 2021?

24 It looks like only just table five. It's the  
25 bottom of page 37. Cardiac caths go from 83 to

1           203.

2       THE WITNESS (Warshofsky): Okay. We're just pulling  
3           that up.

4       MR. CARNEY: Okay. Sure.

5       THE WITNESS (Warshofsky): Yeah. This is  
6           Dr. Warshofsky. So you know, again that goes to a  
7           couple of things. One is we are seeing increased  
8           volumes in general with our cardiologists, new  
9           cardiologists here, increased utilization of their  
10          services.

11                 And exactly what I was saying a couple of  
12          minutes ago which was that right now the patients  
13          who are in need of a PCI, or who are thought to be  
14          in need of a PCI are not having a cardiac  
15          catheterization done here, and that I would say is  
16          the majority of cardiac catheterizations that we  
17          do.

18                 The majority of cardiac catheterizations that  
19          we do are done looking for coronary artery disease  
20          in anticipation of stenting.

21       MR. CARNEY: So they're not having it done at Norwalk  
22           because they're saying basically, well, if I need  
23           a PCI, an elective PCI, I won't be able to have it  
24           down there. Is that what you're saying?

25       THE WITNESS (Warshofsky): Exactly.

1 MR. CARNEY: Okay. And you said you hired two new  
2 cardiologists?

3 THE WITNESS (Warshofsky): Yeah. Actually, Dr. Yekta  
4 has been with us a couple of years and most  
5 recently we brought on Dr. Menendez.

6 MR. CARNEY: Okay. Thank you.

7 Let's see. So page 7 notes that while  
8 Norwalk Hospital anticipates performing more than  
9 200 PCIs per year it is important to consider that  
10 the volume standard for PCI programs of 200  
11 annually has been questioned recently in the  
12 literature.

13 I know you've touched on this a little bit,  
14 but please elaborate on the statement as to why  
15 institutional volumes have been questioned  
16 specifically?

17 THE WITNESS (Warshofsky): I just want to make sure  
18 that I understand the question. Are you asking  
19 whether I believe that 200 number is relevant,  
20 important? Or are you asking a different  
21 question?

22 MR. CARNEY: Yeah, the statement was that basically  
23 that 200 number is sort of being questioned in  
24 some recent years in the literature, that it may  
25 not be the number, the appropriate number.

1           So I just wanted you to follow up on that,  
2           you know, your opinion about that.

3   THE WITNESS (Warshofsky):   Sure.

4   A VOICE:   Excuse me, Mr. Carter.   Just before  
5           Dr. Warshofsky answers.   I didn't catch the page  
6           reference.

7   MR. CARNEY:   Page 7.   Sorry, page 7.

8   A VOICE:   Of the application?

9   MR. CARNEY:   Page 7 of the prefiled testimony.

10   THE WITNESS (Warshofsky):   Yeah.   So again, I think  
11           Dr. Lomnitz pointed out that that -- that number  
12           is a number that is not based on randomized  
13           clinical trials, or really any clinical trial per  
14           se trying to look at that.

15           The strength of the relationship between  
16           volume and outcomes really was much more -- was  
17           much stronger in -- in the, what we call the plain  
18           old angioplasty era where we didn't have coronary  
19           stents.   Since that time that relationship really  
20           has been, I would say, weakened and questioned  
21           much more.

22           And when -- when you think about it just in  
23           terms of common sense, if you will, to think that  
24           a program that's doing 190 PCIs is, you know,  
25           materially worse in quality than a program doing

1       205 PCIs, it just, you know, goes against common  
2       sense. Right?

3               We -- we all know that quality is related to  
4       many more things than any absolute number. So  
5       although, again in this stage of looking at our  
6       volumes and through, you know, the exercises that  
7       we've been through I'm confident we will exceed  
8       that number, but I think that number really does  
9       need to be taken a little bit with a grain of  
10      salt.

11   MR. CARNEY: And one final question, Doctor. How do  
12      you describe sort of the relationship between  
13      operator and institutional volumes? The two do  
14      different thresholds. How do they interrelate?

15   THE WITNESS (Warshofsky): You know operator volume,  
16      the numbers for recommended volumes have been  
17      decreasing over the years. I think you've heard  
18      the recommended volume for PCI operator on the  
19      most recent recommendations is 50 per year.

20   MR. CARNEY: Fifty, agreed, 50.

21   THE WITNESS (Warshofsky): Yeah. It used to be 75.

22      The -- the two go hand-in-hand to some degree in  
23      that, you know, the -- the volume data for  
24      operators is relatively weak when it comes to  
25      looking at any specific number, but we do know



1       that there is a weak overall directional  
2       association.

3               Our physicians who are working in our STEMI  
4       program in Norwalk will certainly maintain those  
5       minimal volumes -- and I'm thinking offhand. I  
6       think all of them will be working at fairly  
7       high-volume centers in addition to Norwalk  
8       Hospital.

9       MR. CARNEY: So the Danbury, too, with the library?

10      THE WITNESS (Warshofsky): Danbury and other centers as  
11      well.

12      MR. CARNEY: All right. Thank you very much.

13      THE WITNESS (Warshofsky): You're welcome.

14      MR. CARNEY: I think that's all I have, Michaela.

15      THE HEARING OFFICER: Okay. Let's see here. So page 8  
16      of the prefiled testimony states that the ability  
17      to offer elective PCI at Norwalk Hospital will  
18      reduce the cost of care by eliminating unnecessary  
19      transfers and enabling timely medical  
20      interventions.

21               How will this affect overall healthcare costs  
22      and consumers' out-of-pocket costs.

23      THE WITNESS (Warshofsky): I -- I may ask to phone a  
24      friend on this one, but I will just say this. You  
25      know, that certainly when we think about length of

1 stay for a patient, when you think about them  
2 coming into a hospital and then getting worked up,  
3 and then the decision is made to transfer them.  
4 And then they're getting reworked up at the  
5 receiving hospital and getting put on for the next  
6 day for cardiac catheterization, I think we can  
7 all see how that increases the overall length of  
8 stay in -- in any particular hospital for that  
9 patient.

10 The cost of an ambulance ride with EMS  
11 services I think is significant, and you have to  
12 add that onto the, you know, the equation in terms  
13 of cost for our healthcare system. And you've got  
14 to backfill that EMS service for a patient who may  
15 need it.

16 And so we're -- we're kind of overall  
17 increasing the cost of care throughout many  
18 things. There's a lot of ripple, ripple effects  
19 and unintended consequences, as -- as with a lot  
20 of things.

21 I'm going to see if Dr. Murphy has anything  
22 to add to that?

23 THE WITNESS (Murphy): Thanks, Mark. I do think that  
24 was a comprehensive answer, and an excellent one.  
25 The only thing that I would add, Hearing Officer,

1 is that once again you have to recognize that we,  
2 let's say, within our system have worked very  
3 hard, A, to come to an agreement with the payer,  
4 whomever that payer might be, Medicare or  
5 commercial payer or even potentially Medicaid and  
6 say, listen. We're responsible for the entire  
7 episode of care from soup to nuts.

8 We have coordinated who's going to do what in  
9 what sequence, what tests will be done, which  
10 tests won't be done.

11 And to the extent -- to the extent that we've  
12 spent more than we've agreed to, the onus is on  
13 us. That's a problem for us that there isn't this  
14 notion that, well, it's not my problem. It in  
15 fact is.

16 And to the extent that we can generate  
17 high-quality care cost efficiently, everybody  
18 wins. When the patient is transferred out of the  
19 system there is no -- there may be no such  
20 relationship and the receiving hospital can do  
21 what it wants, follow a different protocol. And  
22 again, having transferred lots of patients for  
23 many years, what inevitably and unfortunately  
24 happens is the tests get repeated oftentimes.

25 Somebody says -- at least in my field, you

1 know what? We can't find the film. Or these MRIs  
2 don't run on our machines, or I can't find the  
3 software. So just run the -- the MRI again, or --  
4 or do the EKG or do the echo. Or do whatever the  
5 particular imaging study is, or let's rerun the  
6 labs.

7 Or as Dr. Warshofsky said, you know, that was  
8 yesterday. We were booked. It was a late case.  
9 We didn't realize it was Friday. All of the  
10 sudden now it's Monday morning, and the renal  
11 studies, the renal functions have to be repeated.

12 So there is this inevitable result, in my  
13 view, that tests get repeated that otherwise would  
14 not have been repeated, that the patient now is at  
15 an institution that may or may not be part of his  
16 or her insurance plan, and he or she is now  
17 responsible for significant bills where they were  
18 under the impression that if they had a heart  
19 attack, God forbid, that they were covered.

20 Not only do they then have to then  
21 contemplate the issue of the facility itself may  
22 be out of network -- and I don't have  
23 out-of-network coverage, but so too may the doctor  
24 or the doctors, plural, that that entire team is  
25 going to have the opportunity to bill that

1 patient.

2 All of those services would have been covered  
3 on the bundled contract that existed at the home  
4 institution. None of those services are going to  
5 be covered potentially at the receiving  
6 institution if it's a transfer.

7 So the consequences, the financial  
8 consequences are substantial to the patient no  
9 matter what kind of insurance they have, if it's a  
10 nonparticipating provider both in terms of  
11 coinsurance, co-pays, maximum out-of-pocket  
12 expenses.

13 And that's the reason so many companies in  
14 America, and for that matter, the State of  
15 Connecticut itself has spent so much time and  
16 reached out to so many healthcare providers to  
17 say, listen. We want you to sign up for these  
18 bundles of care so that we can begin to control  
19 costs while improving outcomes.

20 We as a health system have subscribed to  
21 that. That's not equally true across the county,  
22 or for that matter the State, but we believe  
23 it's -- it's our responsibility as providers to  
24 try to contemplate and coordinate cost-efficient  
25 high-quality care, and transfers fly in the face

1           of that effort.

2       **THE HEARING OFFICER:** Thank you for that. Just a  
3           follow-up question. So you've explained it to me  
4           so that at least I can understand how, how this  
5           could increase costs.

6           But is there a way or have you been able to  
7           quantify the cost savings that would occur if  
8           these transfers were eliminated?

9       **THE WITNESS (Murphy):** Well, it would be difficult  
10          for -- for me to sit here, because as you know I  
11          don't have the access to free schedules of other  
12          institutions.

13          But I can tell you that from the payer's  
14          perspective, that payer being either the state  
15          government, the federal government, or the  
16          employer, they're all migrating to -- to this  
17          notion either of saying, there's going to be an  
18          accountable care arrangement where they call it  
19          the Medicare shared savings program, as you know,  
20          or the next generation ACO; or what is becoming  
21          even more popular, the bundled payment  
22          coordinating care initiative out of Medicare did  
23          it.

24          We participated in 22 of those bundles. Now  
25          the commercial market and the employers are moving

1 more and more to these episodes of care because  
2 they have found that's where most of the expense  
3 lies. When somebody gets really sick and needs  
4 these life-saving but expensive interventions,  
5 it's very important that the care be coordinated.

6 So they have told us basically by virtue of  
7 having to pay the bills that this is where the  
8 savings are. These have to be priorities, and  
9 given the fact that cardiovascular is the leading  
10 cause of death we feel it's incumbent upon us to  
11 be responsible and to be able to offer bundled  
12 cost-effective, high-quality accessible services  
13 to people that live in our area including those  
14 who have no insurance whatsoever.

15 Again, I can tell you having sent lots of  
16 patients to some quaternary centers, if you don't  
17 have insurance you're out of luck when you try to  
18 go someplace else.

19 THE HEARING OFFICER: Thank you. All right. I think  
20 that is it for that question. I do have another  
21 question. Let's see here.

22 So I think we asked this. I was listening to  
23 Dr. Warshofsky's testimony and I think that he was  
24 talking about -- and Brian, my colleague Brian  
25 Carney may have touched on this -- but I just want

1 to make sure that I've got it.

2 So I think that there was a discussion of a  
3 four-to-one kind of ratio used to determine or  
4 project how many PCIs might be needed. And I  
5 think I wanted to ask Dr. Warshofsky if there's  
6 any literature that goes along with that? I think  
7 Brian may have asked you this, but I didn't cross  
8 it off my list.

9 THE WITNESS (Warshofsky): Yes, he did. And I -- I  
10 mentioned our own, you know, New York State --  
11 sorry, not New York state. Connecticut's data,  
12 the NCDR data that was presented earlier.

13 THE HEARING OFFICER: Yeah?

14 THE WITNESS (Warshofsky): With several of our  
15 hospitals throughout the Norwalk/Southern  
16 Fairfield County area. And also if we look at  
17 that Seaport trial, that mentioned I believe an  
18 eight-to-one ratio.

19 And I think that that has -- that that ratio  
20 has come down somewhat over time, but even today  
21 using, whether it be Stamford's numbers or  
22 Danbury's numbers, we know that that ratio is --  
23 is around four to one and sometimes higher.

24 THE HEARING OFFICER: Okay. Thank you for that.

25 And then I think the other question that I



1 had for you is if there's any data that you could  
2 share with us about how COVID has impacted the  
3 ability to transfer patients out of Norwalk to  
4 other hospitals who may be requiring elective and  
5 you can't perform it there?

6 THE WITNESS (Murphy): Yeah. So you know, I cannot  
7 give you specifics about the transfers out of  
8 Norwalk Hospital for elective PCI during COVID.  
9 What I will say about COVID is that, as you know,  
10 patients have delayed coming in for acute  
11 problems, and a lot of those acute problems were  
12 heart attacks. We received patients much later on  
13 in their disease process.

14 I think that the notion to a patient who did,  
15 let's say, decide to come in during COVID, the  
16 notion of saying to them, okay. Well, you know,  
17 you were -- you got over your fears of coming into  
18 a familiar hospital, but now we're going to  
19 transfer you away from your family to a less  
20 familiar hospital, or a completely unfamiliar  
21 hospital -- I think would not go over well.

22 And -- and again, I want to emphasize also  
23 how incredibly busy the hospitals were throughout  
24 the state during COVID. And the thought of taking  
25 transfers during that time was daunting because

1           everybody is running on fumes taking care of very,  
2           very sick patients.

3           And the thought of then admitting a  
4           transferred patient and going through all their  
5           data all over again is -- is just horribly  
6           difficult to think about doing during that time.

7   THE HEARING OFFICER:   Okay.   And just a followup?   Do  
8           you believe that where the hospitals were, there  
9           was a surge and they weren't able to take patients  
10          as readily as they would pre-COVID?   Do you think  
11          that that's something that's might be an anomaly?  
12          Or something that's ongoing?

13   THE WITNESS (Murphy):   Oh, I think it's ongoing.   I  
14          think that, you know, I'm not an infectious  
15          disease or epidemiologist, but I -- I do know that  
16          we are not through this pandemic yet, that we are  
17          seeing hospitalized patients still.

18          We're seeing very sick hospitalized patients,  
19          and so I think it is an ongoing problem.   I don't  
20          know what we're going to be facing next year as it  
21          relates to COVID, but I certainly wouldn't be  
22          surprised if it was affecting our healthcare  
23          system in some way.

24   THE HEARING OFFICER:   Okay.   Thank you for your  
25          responses.

1 I have a question for Dr. Lomnitz. So  
2 Dr. Lomnitz, you indicated that there is an  
3 underutilization of PCI, that about 30 percent of  
4 the people who need it don't get it.

5 30 percent of the people who are appropriate  
6 don't get it. And I just wanted to understand if  
7 that 30 percent, how does that relate specifically  
8 to Norwalk Hospital's primary service area? Was  
9 that just kind of like a national percentage?

10 THE WITNESS (Lomnitz): Yeah, that's a good question.

11 That -- that's -- those studies, and there's lots  
12 of studies that are concerned about  
13 underutilization of care that can improve people's  
14 lives and decrease mortality, and PCI is certainly  
15 one of them.

16 Those studies are based nationally and  
17 that's -- that's, you know, we have to assume  
18 until proven otherwise that we're no different.  
19 And what was -- I hopefully highlighted was the  
20 concern that people whose primary hospital do not  
21 have elective PCI are more likely to be  
22 underserved compared to those that do go to  
23 hospitals that have elective PCI capability.

24 THE HEARING OFFICER: Okay. Thank you. I think that  
25 that is all the questions that I have for the

1           Applicant. I did have a few follow-up questions  
2           for the Intervenor's witnesses.

3           Thank you, Dr. Lomnitz.

4   THE WITNESS (Lomnitz): Thank you.

5   THE HEARING OFFICER: So the next couple of questions  
6           are for Dr. Martin, if he's still available?

7   MR. MONAHAN: He is.

8   THE HEARING OFFICER: So Dr. Martin, you testified that  
9           update in the numbers, the volume numbers or  
10          projections by the Applicant were -- and I'm  
11          quoting you, hard to swallow.

12          What do you mean by that?

13   THE WITNESS (Martin): I mean, I'm sure they took great  
14          care in making this application, and they had  
15          plenty of time to do it. And then to update the  
16          numbers based on a brief uptick in primary PCIs  
17          just seems spurious to me.

18   THE HEARING OFFICER: What do you mean by when you say,  
19          brief uptick?

20   THE WITNESS (Martin): So that there, they list their  
21          numbers for primary PCI from 2016, '17, '18, '19,  
22          '20. And typically those numbers are 60 to 70.

23          And then based on partial year having a few  
24          more primary PCI than other years, they upped  
25          their estimate. I think based on partial numbers

1       fiscal year 2021 I believe they estimated 80-some  
2       for PCIs based off a partial year.

3               And then based on that you use this  
4       multiplier that really there is no literature  
5       about it. You know it is true that nationwide,  
6       you know, back in the Seaport time this eight-X  
7       multiplier was typical nationwide, and now it's  
8       more like three or four times as many nonprimary,  
9       you know, elective PCIs as there are primary PCIs  
10      nationwide -- but that varies widely by  
11      institution.

12             It's driven by -- by practice patterns where  
13      facilities that get outside referrals, or people  
14      choose to go there. Tertiary centers will have a  
15      much higher number of elective PCIs.

16             For example, Cleveland Clinic publishes their  
17      numbers every year, and it's typically 25 to 30  
18      times as many elective PCIs as primary PCIs.

19             Whereas other centers that are not referral  
20      centers where people are not choosing to go to,  
21      the number may be much lower. And nationwide the  
22      average, it is about 4 elective PCIs per primary  
23      PCI.

24      **THE HEARING OFFICER:** So you said that -- I believe and  
25      correct me if I'm wrong. I think you said since

1           2003 there were studies that showed elective PCI  
2           is over utilized, that you know practitioners are  
3           doing too many.

4           Can you elaborate on that?

5   THE WITNESS (Martin):   Sure.  You know, the appropriate  
6           use criteria were established by CMS mainly as a  
7           response to an understood overuse of primary PCI.  
8           In the American Heart Association's -- what's it  
9           called?

10   A VOICE:   Choosing wisely.

11   THE WITNESS (Martin):   Choosing wisely program.  They  
12           actually, you know, how to take elective PCI as  
13           something that's over utilized.  There were a  
14           couple of big trials that I think I mentioned in  
15           my written testimony that show that for -- for a  
16           lack of PCI patients who are not in the hospital  
17           with a heart attack, that for most of those  
18           patients medical treatment was just as good as PCI  
19           in terms, of, you know, and then we like to say  
20           that PCI is a life-saving procedure.  I would like  
21           that to be true, and sometimes it is.

22           If you come in with a heart attack, we open  
23           your artery.  It's a life saving procedure.  It  
24           dramatically improves your rate of survival, but  
25           if you're seen in the office and have a stress

1 test and have some chest pain, we bring you in, do  
2 PCI, and that's what a lot of these patients are.

3 It doesn't, you know, in -- in the big  
4 studies it did not show improvement in survival.  
5 And even in terms of symptom improvement was not  
6 significantly better than medicines alone.

7 THE HEARING OFFICER: When you say, medicines alone, is  
8 that what you mean by medical treatment?

9 THE WITNESS (Martin): Correct.

10 THE HEARING OFFICER: Okay. And one other thing --  
11 actually, not one other thing. So there was  
12 another thing that I heard you say that you know  
13 in terms of PCI, that we're in a stagnant market.

14 What do you mean by that?

15 THE WITNESS (Martin): You know, so that nationwide the  
16 number of PCI is actually, you know, despite a  
17 growing population it's not gone up over the last  
18 5 to 10 years at least.

19 I don't -- I don't have the numbers in front  
20 of me, but you know, it peaked some years ago.  
21 And you know, all the projections, you know,  
22 from -- from the consultant groups and the  
23 nationwide numbers are that there's not a  
24 significant increase year over year. That the  
25 numbers are basically flat to slight decline over

1       the years.

2               And a lot of that is driven by this, you  
3       know, this understanding that PCI may have been  
4       over utilized in the past.

5       THE HEARING OFFICER: All right. And then the last  
6       question for you is that, you know I've heard a  
7       lot of discussion about giving the different  
8       factors and the guidelines the appropriate weight.  
9       And so whether the Applicant is going to be very  
10      close to 200, over 200, there, there it sounds  
11      like their argument is there are also other things  
12      also to consider in terms of a quality program  
13      that OHS should look at and focus on when making  
14      the decision.

15             And so I heard you say you talked about how  
16      the guidelines indicated previously that the  
17      threshold institutional volume was 400; that was  
18      reduced to 200. It hasn't been reduced since  
19      then. So it's just like the guide. You know I'm  
20      just trying to understand so that I can make a  
21      recommendation to the Executive Director about how  
22      she should go.

23             And can you just explain for me why? Why?  
24      Why is the 200 operator volume threshold? Why do  
25      you believe, or based upon what you've read, why?



1       Why should we stick with that hard and fast?

2       THE WITNESS (Martin):   So I -- I don't think a number  
3       set in stone.   You know, you, you're balancing  
4       reality versus, you know, what's optimal.   And I  
5       think what might be optimal would be, say, a  
6       thousand -- you know might be a better number,  
7       honestly.

8       You know, if we all did 200 PCIs per year per  
9       operator and a thousand in the center, you  
10      probably would get, you know, better outcomes than  
11      what's available right now, but that's not the  
12      reality in the US.

13      It is in some other countries, but here that,  
14      you know, we -- we train more in retro  
15      cardiologists.   We have hospitals all over the  
16      place that decide they want to have a cath lab.  
17      You know, we have to, you know, the states,  
18      they have to -- I have to, have to just deal with  
19      reality.

20      And so I -- I think it's with that compromise  
21      what our societies have come up with is that 200  
22      is a good number.   I think clearly ten is not a  
23      good number, no.   I think in, you know, in 400 it  
24      can even be too high because it was unreasonable  
25      and that no, you know that not enough places would

1 meet it.

2 So you know, could -- could that number be  
3 150 or 250? I, you know, I don't think there's  
4 any magic about the number, but it's -- it's a  
5 parsing reality with what's -- what's optimal in  
6 terms of patient care and patient outcomes.

7 MR. CARNEY: This is Brian Carney. Just to chime in  
8 Dr. Martin? By 200, you're speaking specifically  
9 about institutional volume. Correct?

10 THE WITNESS (Martin): Yeah, that's -- that's, you  
11 know, what our guidelines suggest, is -- it's the  
12 reasonable number to use and it was a minimum.

13 MR. CARNEY: Okay, thank you.

14 THE HEARING OFFICER: All right. I don't believe I  
15 have any additional questions. I'm going to defer  
16 to Jess, Jessica Rival.

17 MS. RIVAL: Good afternoon. My first question is for  
18 Dr Warshofsky.

19 Hi, Doctor. On page 45 of the application  
20 there are some assertions about the Cleveland  
21 Clinic. Could you give us some detailed examples  
22 to explain how Norwalk Hospital's affiliation with  
23 the Cleveland Clinic will affect cost and quality  
24 measures related to the proposed elective PCI  
25 services?

1 THE WITNESS (Warshofsky): Sure. So as you know, and  
2 as was mentioned earlier, the Cleveland Clinic  
3 is -- is really regarded as -- as essentially the  
4 top cardiovascular institution in the country, and  
5 probably the world. They do thousands of  
6 interventions per year.

7 And what we've established with them is a  
8 very close affiliation in Danbury Hospital after a  
9 programmatic assessment. And that programmatic  
10 assessment is currently ongoing in Norwalk  
11 Hospital, and that will lead to an affiliation  
12 with the Cleveland Clinic as well.

13 That program is -- is one that focuses on  
14 quality, and it's a collaborative effort. It will  
15 be a collaborative effort between Norwalk Hospital  
16 and the Norwalk Hospital Cath Lab staff, and the  
17 Cleveland Clinic staff. It goes beyond just  
18 physician relationships and physician  
19 interactions. It -- it goes to nursing and  
20 operational leader interactions.

21 And it really covers everything from things  
22 like, what are the best care pathways for  
23 patients? What are the best order sets? How can  
24 you decrease, decrease costs by opportunities in  
25 the supply chain? How can you decrease costs by

1 maintaining high quality, lowering adverse event  
2 rates, which can lead to prolonged  
3 hospitalizations?

4         Discussing cases with the Cleveland Clinic,  
5 and deciding what might be the best approach for a  
6 particular patient; in the unfortunate  
7 circumstance of an adverse event, reviewing those  
8 cases with the Cleveland Clinic so that we can get  
9 their insight into what they may have done  
10 differently, or just get their insight into  
11 what -- what their thoughts were on the case.

12         It -- we -- we have regular meetings with  
13 them where we look at case reviews, as I  
14 mentioned, but also compare ourselves to the  
15 Cleveland Clinic. They actually generate a report  
16 card for us that looks at our data and tells us  
17 really how we're doing compared to the Cleveland  
18 Clinic.

19         So it's -- it's a constant effort and focused  
20 with them. And again, it goes beyond just the  
21 physicians. It -- it certainly includes  
22 the physicians and that's a major focus, but it --  
23 it really encompasses the whole episode of care,  
24 you know, and care across the continuum of  
25 cardiovascular disease. The cath lab and PCI

1 programs are obviously a huge focus of that.

2 MS. RIVAL: Thank you very much. My next couple of  
3 questions are actually for the Intervener.

4 The first one is the applicant states on  
5 page 15 of the application that Norwalk Hospital's  
6 primary service area includes the towns of  
7 Norwalk, Westport, Wilton, New Canaan, and Weston,  
8 Connecticut.

9 Are these towns covered by Stamford  
10 Hospital's cardiac program?

11 THE WITNESS (Bailey): I guess -- at this point, Jess,  
12 I guess it's good evening. We're now past  
13 five o'clock. So it's gone from good morning to  
14 good evening.

15 So I can address that. So to make sure I  
16 heard your question correctly, Jessica, is that  
17 you're asking if those five different towns listed  
18 as the Norwalk service area, whether we consider  
19 those in our overall service area?

20 MS. RIVAL: Correct.

21 THE WITNESS (Bailey): We do. We look at both service  
22 areas as primary services -- service area as well  
23 as our secondary service area based on where  
24 patients do seek care from Stamford.

25 So when we look at the service area of

1 Norwalk for sure, and then secondarily as we go  
2 out a little bit further.

3 MS. RIVAL: My next question is, do you have at your  
4 disposal the numbers as far as how much Stamford  
5 Hospital's PCI volume is derived from these towns?

6 THE WITNESS (Bailey): I do not have that at my  
7 disposal. You're asking how many PCI volumes that  
8 we get from the different, those five different  
9 towns? I don't have that readily available.

10 I'm sorry.

11 MR. MONAHAN: We certainly can provide that in a late  
12 file, if OHS would like that?

13 MS. RIVAL: Yes, please.

14 And lastly, does Stamford Hospital have the  
15 capacity to perform additional PCIs at this time?

16 THE WITNESS (Bailey): And Jess, that's a great  
17 question and we appreciate the opportunity to  
18 address that.

19 I'm sorry. We've got some team members  
20 coming in. Sorry. We're going to lock one of the  
21 doors here real quickly. Sorry about that  
22 interruption.

23 But your question was, do we have the  
24 capacity to continue to grow? And we do have the  
25 capacity to continue to grow. As I mentioned in

1 my comments, we do have that ample capacity as we  
2 looked at our ability to continue to expand and  
3 meet whatever needs are within the community.

4 We've evaluated that and would certainly be  
5 able to satisfy any appropriate needs.

6 MS. RIVAL: Do you know about how many additional PCI's  
7 could be performed, say, at Stamford Hospital in a  
8 given year?

9 THE WITNESS (Bailey): I would probably defer to my  
10 colleague Dr. Martin to more specifically address  
11 that, if he has that information.

12 MS. RIVAL: Sure.

13 THE WITNESS (Martin): Sure. So with current staffing  
14 and facilities, you know, we can certainly  
15 increase PCI volume by 50 percent. We could do  
16 that without a problem, and potentially more if we  
17 have the space to grow if we needed to in the  
18 future.

19 THE HEARING OFFICER: Just for the clarity of the  
20 record, 50 percent of what?

21 THE WITNESS (Martin): So our current volume last  
22 fiscal year was 300 and --

23 THE WITNESS (Bailey): 380, something like that.

24 THE WITNESS (Martin): So that's 190 -- so another 190  
25 per year I think would easily be doable with

1 current staffing and the facilities.

2 MR. CARNEY: Yes, 388 is the total for '20, FY '20.

3 MS. RIVAL: Okay. Thank you very much.

4 THE HEARING OFFICER: Dr. Martin, can I ask you one  
5 other question? When you were giving your  
6 testimony you also said that you had to maintain a  
7 minimum threshold of 300.

8 Can you explain more about that?

9 THE WITNESS (Martin): Sure. I -- I think Jonathan  
10 mentioned that, but --

11 THE HEARING OFFICER: Oh, it was Jonathan? Okay.

12 THE WITNESS (Martin): But anyway, I can speak to that.  
13 The CMS rules for having a TAVR program. It's a  
14 transcatheter aortic valve replacement which is a  
15 valve replacement procedure that we do; require,  
16 you know, a higher volume than -- than just  
17 continuing to do PCI, because it's a specialized  
18 procedure.

19 And -- and that 300 per year volume is -- is  
20 required to be paid by CMS for the -- for the  
21 valve procedure.

22 THE HEARING OFFICER: Overall, 300?

23 THE WITNESS (Martin): 300 PCIs yearly, correct. And  
24 then are also -- there are a number of other  
25 requirements, like how many of the TAVR procedures



1           you do and certain staffing and -- and equipment  
2           resources.

3   MR. CARNEY:   Can I just ask a followup?   This is Brian  
4           Carney.   So Doctor, what happens if you fall below  
5           that 300 minimum?

6   THE WITNESS (Martin):   Well, the risk would be that you  
7           would stop getting paid into the TAVR procedures  
8           and effectively have to shut down the TAVR  
9           program.

10           You know, I don't think we would be under any  
11           scrutiny right now for the volume because of  
12           COVID, but if going forward we were routinely less  
13           than 300 we would risk losing that program, and  
14           the, you know, the ability to treat the patients  
15           locally with TAVR.

16   MR. CARNEY:   Great.   Thank you.

17   THE HEARING OFFICER:   This is the last question for  
18           you, Dr. Martin, I promise.

19           What is the TAVR program?

20   THE WITNESS (Martin):   So the aortic valve is the valve  
21           that lets blood out of your heart when it pumps  
22           out to your body.

23   THE HEARING OFFICER:   Yeah.

24   THE WITNESS (Martin):   And it's pretty common as you  
25           get older the valve stiffens up, and in some

1 people it narrows and -- and fails, and that can  
2 be deadly. And historically that would be treated  
3 by cutting the chest open, cutting out the valve  
4 and replacing it with a new valve.

5 Over the last 15 years a procedure where  
6 that's done from the inside, you know, going in  
7 through the groin and taking a new valve to where  
8 the aortic valve is and replacing it from the  
9 inside. Basically the new valve crushes old valve  
10 out of the way and pops open.

11 It has become the preferred treatment for  
12 most patients with aortic stenosis, the newer  
13 valve there. And you know, we -- we started the  
14 program here just shortly before I got here six or  
15 seven years ago, and then it's had significant  
16 growth over the last several years.

17 THE HEARING OFFICER: All right. Thank you.

18 MR. MONAHAN: (Unintelligible.)

19 THE HEARING OFFICER: I'm sorry. Go ahead, Attorney  
20 Monahan.

21 MR. MONAHAN: Sorry to interrupt, if you were about to  
22 speak, Ms. Mitchell.

23 THE HEARING OFFICER: That's okay.

24 MR. MONAHAN: My oversight, but in one of your  
25 questions about the cardiac issue -- and I can't

1 exactly -- saw the two shoulders move. It was  
2 Dr. Martin and Dr. Bhalla, and I do believe  
3 Dr. Bhalla had a responsive statement to make in  
4 response to one of your questions.

5 Would it be possible that he could address  
6 it? He remembers the question -- if he can  
7 address it for you?

8 May he have permission to come to the table?

9 THE HEARING OFFICER: Oh, yes. I thought he was  
10 coming. Yes, that's fine, Dr. Bhalla.

11 THE WITNESS (Bhalla): Hi. It's Dr. Bhalla. I just  
12 wanted to follow up on my colleague Dr. Martin.  
13 You mentioned -- talked about the 200 criteria,  
14 that question you asked, had asked about. And I  
15 just wanted to reiterate that in terms of that  
16 number, for any quality and safety parameter,  
17 procedural parameter, some cutoff does have to be  
18 chosen.

19 And i just do want to reiterate from the  
20 guidelines that what's written in those guidelines  
21 that we've talked about from 2013, it's in  
22 operational labs performing less than 200  
23 procedures annually that are not serving isolated  
24 or underserved population. The question, and that  
25 any laboratory that cannot meet satisfactory

1 outcomes should be closed.

2 And their rationale from a quality and safety  
3 perspective is that that was the number that was  
4 consistently associated with -- with worse  
5 outcomes.

6 And to the point that was raised that  
7 Dr. Martin brought up choosing wisely which I had  
8 mentioned in my testimony, I think it's noteworthy  
9 that the single practice that the Society for  
10 Cardiovascular Angiography mentioned put forth for  
11 potential inappropriate utilization is the  
12 statement in their Choosing Wisely campaign, which  
13 is avoid PCI in asymptomatic -- asymptomatic  
14 patients with normal or only mildly abnormal or  
15 adequate stress test results. And they put that  
16 recommendation for this part of the Choosing  
17 Wisely campaign.

18 We've been talking about the timeframe of the  
19 guidelines from 2014. This was put forward by the  
20 SCAI in 2014, but in this kind of period that has  
21 come after 2014 they've reiterated this statement  
22 in 2016 and they reiterated it again, in 2018 just  
23 to underscore the potential for over or  
24 inappropriate utilization.

25 THE HEARING OFFICER: Thank you, Dr. Bhalla.

1 All right. I don't think we have any more  
2 questions from the agency.

3 Double checking, Brian and Jessica, nothing  
4 else? Okay. Everybody shaking their head, no.  
5 All right. So thank you.

6 All right. So I'm just going to ask is there  
7 anybody here that wants to give public comment?

8  
9 (No response.)

10  
11 THE HEARING OFFICER: All right.

12 Leslie, did anybody sign up? I just want to  
13 make sure we're not missing anybody.

14 MS. GREER: No, Michaela. Nobody signed up.

15 THE HEARING OFFICER: Thank you. Okay. So what I'm  
16 going to do with regard to public comment is I'm  
17 actually going to leave the record open.

18 I usually leave it open only for a week, but  
19 in this case I'm going to leave it open for two  
20 weeks, because I'm going to ask for some  
21 information from both the Applicant and the  
22 Intervener in the form of late files.

23 So anyone who wants to submit public comment,  
24 if you know somebody that wants to submit public  
25 comment and they haven't done so, they can do it

1 in writing. That would need to be sent to the  
2 Office of Health Strategy. I believe that the  
3 e-mail address is CONcomment@CT.gov.

4 Did I get it right, Leslie?

5 MS. GREER: It's actually OHS@CT.gov.

6 THE HEARING OFFICER: Oh, it's OHS@CT.gov. Say that  
7 again for me, Leslie?

8 MS. GREER: OHS@CT.gov. We would get it either way at  
9 the CON, but we've tried to eliminate that  
10 mailbox.

11 THE HEARING OFFICER: Oh, my goodness. And I keep  
12 resurrecting it. Okay. Thank you. All right.  
13 So anyone who wants to submit public comment can  
14 do that by May 6th.

15 So in terms of late files, I just want to go  
16 over that and one other thing, and then I'll let  
17 both the Applicant and the Intervener make closing  
18 statements.

19 In terms of late files for the Applicant I  
20 wanted you to provide to us the methodology for  
21 your updated volume projections, including data  
22 sources and calculations. So just kind of explain  
23 that to us so we can understand how you came up  
24 with them, and that would be for the next three  
25 fiscal years.

1           And then for the Intervener information that  
2           we would be looking for from you are the number of  
3           elective and primary PCI procedures derived from  
4           Norwalk's primary service area for the last three  
5           fiscal years.

6           Let me just -- I'm going to go ahead and turn  
7           to Attorney Tucci for a timeline for a production  
8           of the methodology. Is a week okay?

9   MR. TUCCI: Yeah. Attorney Mitchell, if I could just  
10          ask for ten days? I have some other conflicts and  
11          commitments.

12   THE HEARING OFFICER: Got it. Okay. So you want ten  
13          calendar days?

14   MR. TUCCI: Yes, please.

15   THE HEARING OFFICER: Okay. Let me just look. That  
16          date is going to be what day here? Let me just  
17          pull up my calendar.

18                 All right. So we are at the 22nd. The  
19          ten-day mark is going to be on May 3rd. Is that  
20          okay? Did I get that right, everybody.

21   MR. TUCCI: Yes, thank you very much. Appreciate that.

22   THE HEARING OFFICER: And then also Attorney Monahan,  
23          are you going to be able to get your information  
24          in by May 3rd?

25   MR. MONAHAN: Yes.

1 THE HEARING OFFICER: Okay. And then what I'll do is  
2 I'm going to give both the Applicant and the  
3 Intervener a week to send a reply to the  
4 information that's submitted to OHS.

5 So if there's anything that you wanted to  
6 note with regard to the submissions, you're going  
7 to have an opportunity to do that. So that is  
8 going to be due on a week from May 3rd. So that's  
9 going to be due on May 10th.

10 Is that enough time for everybody? I don't  
11 want to get anybody in a jam.

12 MR. MONAHAN: It's fine for the Intervener.

13 MR. TUCCI: And yes for the Applicant.

14 THE HEARING OFFICER: All right. So I'm going to go  
15 ahead and correct myself, too, that we are going  
16 to leave the record open to May 10 -- that any  
17 public comments that people want to send it.

18 One other thing, since we're looking at a lot  
19 of data I wanted to take notice of the all-payer  
20 claims database and the OHS in-patient discharge  
21 database.

22 We do run numbers from that sometimes when we  
23 have applications for PCI. If there's anything  
24 new that we're going to introduce, we're also  
25 going to give counsel the opportunity to make



1 comment on anything that we propose to add to the  
2 record.

3 So we just want to make sure that we double  
4 check the numbers and look at it from what we have  
5 in-house. Sometimes it may not be the most  
6 up-to-date data, but we're utilizing more of our  
7 data as much as we can to take a look at what  
8 we're receiving from applicants who are going to  
9 do that as well. So I'll just go ahead and take  
10 notice of that.

11 Is there any objection from counsel on that?  
12 As long as I give you guys an opportunity to reply  
13 or respond to any data that we want to submit, we  
14 want to include into the record that we generate  
15 in-house at OHS.

16 MR. TUCCI: On behalf of the Applicant, that's  
17 perfectly fine.

18 THE HEARING OFFICER: Thank you, attorney Tucci.

19 MR. MONAHAN: No objection from the Intervener.

20 THE HEARING OFFICER: Okay. All right. So at this  
21 time I'm going to go ahead and ask counsel for the  
22 Applicant and for the Intervener to make closing  
23 statements. So because this is the Applicant's --  
24 because it's their application, I'm going to ask  
25 the Intervener to go first and then the Applicant

1 to have the last set of comments.

2 So Attorney Monahan, if you wouldn't mind  
3 going first?

4 MR. MONAHAN: Certainly, and I appreciate that.

5 And you've heard a lot today. We have all  
6 have heard a lot today, and read a lot. I'm just  
7 going to make some brief summary comments.

8 On behalf of Stamford Health, Inc, I think  
9 what I would like to just impress upon the Hearing  
10 Officer and the OHS staff is that we believe that  
11 this, we are in a period of time where we have to  
12 take stock in the fact that we are a CON state.  
13 We have CON statutes, and we have them until we do  
14 not.

15 I know that there is talk and there has been  
16 testimony about different variations of the views  
17 of quality and cost, and so on, but the principles  
18 and guidelines of the CON statute are what we are  
19 bound by -- and indeed what we submit, as you know  
20 full well, OHS is considering, and considering  
21 well and thoroughly as it hears all this  
22 information.

23 We believe that the desire of -- especially  
24 as we become, and candidly, a system, a state -- a  
25 state that has more systems than smaller community

1 hospitals -- we think it's important as was made  
2 clear by our CEO that the desire of a system and  
3 even the desire of a patient to be close to home,  
4 or to be close to their favorite hospital does not  
5 necessarily and does not in fact constitute one of  
6 the core principles, which is unmet need. And we  
7 think that we have to, in this kind of setting, go  
8 to the core principles of our CON law, one of  
9 which is unmet need.

10 I do not think there was one person on either  
11 side of the table here today that acknowledged  
12 that there is a lack of access of elective PCI.  
13 There are a number of hospitals that are able to  
14 provide that with full surgical backup and so we  
15 believe that one of the cornerstones of CON is not  
16 met in this case.

17 The second thing is, in the event that this  
18 application was granted it may be sort of a  
19 natural followup to what I just said, but it would  
20 be a duplication of a service that is already  
21 being provided and satisfying of a need. And as  
22 you've heard from witnesses, there is plenty of  
23 additional capacity or access.

24 I believe whether one calls it access or  
25 capacity, we may be dealing with semantics. The

1 point is, can the service be provided to the  
2 people who need it with the highest quality care  
3 possible? And there has been no evidence  
4 submitted by the Applicant that that is not the  
5 case. We are a system in the state for elective  
6 PCI where we can provide high-quality service to  
7 all who need it.

8 The third thing I'd like to raise is just  
9 clearly -- and again, as a core principle we're  
10 always dealing with providing the best care  
11 possible for all of our residents in the state of  
12 Connecticut, and quality is an important issue.  
13 Now for that reason -- and I think, you know,  
14 focusing back on what Dr. Bhalla has emphasized,  
15 while we have a number -- and it's becoming the  
16 nature of medicine.

17 I heard actually testimony from the Norwalk  
18 people about how the study of medicine is  
19 accelerating and there's new things happening all  
20 the time, which really highlights the point that  
21 Dr. Bhalla was saying, is that we need to have  
22 experts come to consensus to reach agreement on a  
23 best practice.

24 And again, not being a clinician, when I was  
25 given examples as I prepared for this about how

1 best practices formed things like when women  
2 should get mammograms every year, when people  
3 should start getting colonoscopies, what the best  
4 practices are; the fact that we start with best  
5 practices, yes, they may change over time, but in  
6 this case the best practice is unanimously  
7 recognized.

8 Even though there's poking at it and  
9 examination and debate, the best practice in place  
10 is that 200 minimum PCI volume for the facility.  
11 And we believe to go below that is to lean toward  
12 less optimal care and worse outcomes based on  
13 those three expert consensus studies.

14 The other thing I would like to point out is  
15 that I do believe -- and I appreciate there will  
16 be late files in this. I do believe that there is  
17 a distinction between empirical scientific study  
18 that projects numbers that are real, especially  
19 numbers that are real in connection with a  
20 declining market, whether we look locally,  
21 statewide, or nationally in the elective PCI  
22 world.

23 And what I believe has happened in this  
24 application -- and this is, again no disrespect to  
25 anyone involved, but there is no evidence that the

1 mechanism to come up with these projections that  
2 were well below the 200 benchmark, and now  
3 suddenly many more above -- it has no empirical  
4 basis that we have seen.

5 And we do not think an off-the-cuff  
6 estimation is the way to somehow get past this  
7 critical quality requirement.

8 So in closing, what I'd like to just suggest  
9 and say is, number one, we appreciate the fact  
10 that we have had the opportunity to present a very  
11 full hearing. We appreciate the fact that the  
12 Office of Health Strategy has heard testimony, and  
13 I'll daresay heard counsel who have I think both  
14 vigorously tried to represent their clients and  
15 allow as much information in as possible.

16 I would as a last point state that in being  
17 consistent with the Office of Health Strategy  
18 charge under the CON laws we feel strongly that  
19 that statewide healthcare and facility plan has  
20 meaning.

21 It has precedent. It has been used and  
22 relied on, and while others -- and I believe  
23 Dr. Murphy did, in fact, point out that there may  
24 be task forces looking at things, and of course  
25 that's natural. There is a study and a facilities

1 plan that took a long time to put in place. It is  
2 still consistent with the consensus expert report  
3 that is in place, and we believe it should be  
4 honored.

5 So for those reasons I thank you for the  
6 opportunity to present to you this closing remark,  
7 and I appreciate the fact that you allowed our  
8 witnesses to testify as fully as you did.

9 THE HEARING OFFICER: Thanks Attorney Monahan.

10 Attorney Tucci?

11 MR. TUCCI: Thank you, Hearing officer Mitchell.

12 It's been a long day, and I want to say this.  
13 On behalf of Norwalk Hospital as the Applicant, we  
14 appreciate the extraordinary patience of you as  
15 the Hearing Officer and of OH staff in allowing a  
16 full area of this hearing.

17 The second thing I want to say is, we're  
18 going to keep our remarks in closing very brief,  
19 especially in light of the fact that we've been  
20 here so long. And I think the last thing that you  
21 need to hear is more lawyer argument from me about  
22 statutes and magic numbers, and all this other  
23 stuff.

24 So I'm going to cede a very brief amount of  
25 time to Dr. Warshofsky who's going to actually

1 tell you about what's really going on on the  
2 ground in medical science, which I think is really  
3 the most important thing for OHS to consider in  
4 this application.

5 THE WITNESS (Warshofsky): I want to thank everybody  
6 for their time. I certainly appreciate it.

7 I want to first say, just if it helps, based  
8 on 2020 it looks like a little less than a tenth  
9 of patients that had PCI at Stamford Hospital came  
10 from Norwalk, from the city of Norwalk. So  
11 hopefully that helps.

12 I really want to bring this back to the  
13 patients. We've talked a lot about data. We've  
14 talked a lot about laws and CONs, and all that,  
15 but I do want to bring this back to the patients.  
16 And we know that providing PCI without cardiac  
17 surgical backup, which is really an antiquated  
18 term even at this point, is safe.

19 We know it's safe and we can quibble about  
20 190 versus 210, but I do feel that we have the  
21 expertise in our system to provide this care,  
22 particularly with a partnership with the Cleveland  
23 Clinic safely and efficiently, and with high value  
24 for patients.

25 I think that when we, you know, I would not



1 trivial -- trivialize the transfer of patients and  
2 what it means to patients and their families. You  
3 know, we say, okay. It's only, you know, 10 miles  
4 away to this institution, or -- or 20 miles away  
5 to that institution. Many of our patients'  
6 families take public transportation.

7 To think that they can just all of the sudden  
8 hop over to another hospital to be with their  
9 family member is, I think, you know, not really  
10 seeing what's happening on -- on the ground, and  
11 in terms of those who are -- who are caring for  
12 patients on the front line and what they're  
13 seeing.

14 And I think when we think about what we've  
15 been through over the past year with COVID and  
16 looking into going into potentially another season  
17 with variants and -- and vaccines not being as  
18 effective maybe as we'd like them to be, the  
19 thought of transferring patients between  
20 institutions is frightening.

21 At worst -- I mean, at best, you know,  
22 transferring a patient is inconvenient. At worst,  
23 it can lead to medical errors, and certainly  
24 redundancy of care and increased costs.

25 I think that our STEMI patients, whether it's

1       65 or 80 per year, whatever that may be, you know,  
2       these are patients who have come to know Norwalk  
3       Hospital, not because of any marketing campaign or  
4       anything like that. They've come to Norwalk  
5       Hospital because they have really presented with  
6       life threatening -- a life threatening episode, a  
7       heart attack that needs emergent care, and we  
8       provide that care for them.

9               The thought that we could not care for  
10       patients who come in with unstable coronary  
11       syndromes that do in fact need urgent care, it  
12       just doesn't make really any sense at all at this  
13       point. And I think that those patients are coming  
14       here with a STEMI who know that this is the  
15       closest place for them, who know that this is  
16       their community hospital; really speak volumes and  
17       really say to us that there is a need in our  
18       community.

19              And whether it's a 4-to-1, 6-to-1, 20-to-1  
20       ratio, that our volumes for PCI are going to be  
21       more than adequate to meet the standard. So  
22       again, I -- I want to bring this focus back to the  
23       patients, back to our community because I really  
24       do think that those patients deserve to have this  
25       program at their hospital, at Norwalk Hospital.

1           So thank you.

2   **THE HEARING OFFICER:** Thank you. So just in closing, I  
3       just want to thank both the Applicant and the  
4       Intervener for presenting all of the testimony  
5       today, and I also want to thank OHS staff.

6           We're going to leave the record open for the  
7       receipt of the late files and the replies, and  
8       also any public comment. I hope that everybody  
9       has a great day and we will be in touch shortly.

10          Thank you.

11  
12                           (End: 6:04 p.m.)  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25


STATE OF CONNECTICUT  
(Hartford County)

I, ROBERT G. DIXON, a Certified Verbatim Reporter, and Notary Public for the State of Connecticut, do hereby certify that I transcribed the above 291 pages of the STATE OF CONNECTICUT OFFICE OF HEALTH STRATEGY PUBLIC/ADMINISTRATIVE HEARING, in Re: NORWALK HOSPITAL ASSOCIATION CERTIFICATE OF NEED, APPLICATION TO ESTABLISH ELECTIVE PERCUTANEOUS CORONARY INTERVENTION SERVICES, "PCI," AT NORWALK HOSPITAL, on April 22, 2021, via teleconference.

I further certify that the within testimony was taken by me stenographically and reduced to typewritten form under my direction by means of computer assisted transcription; and I further certify that said deposition is a true record of the testimony given in these proceedings.

I further certify that I am neither counsel for, related to, nor employed by any of the parties to the action in which this proceeding was taken; and further, that I am not a relative or employee of any attorney or counsel employed by the parties hereto, nor financially or otherwise interested in the outcome of the action.

WITNESS my hand and seal the 27th day of April, 2021.



Robert G. Dixon, CVR-M No. 857

My Commission Expires:

6/30/2020

# INDEX

WITNESS	PAGE
Mark Warshofsky	9, (239, 256, 267, 288)
(Direct Examination)	16
Cross-Examination by Monahan	216
John Murphy	9, (209, 250, 258)
(Direct Examination)	13
Cross-Examination by Monahan	162
Redirect Examination by Tucci	199
Cross-Examination by Monahan	214
Arshad Yekta	9
(Direct Examination)	20
Cross-Examination by Monahan	223
David Lomnitz	9, (259)
(Direct Examination)	27
Cross-Examination by Monahan	232
Kathleen Silard	9
(Direct Examination)	35
Cross-Examination by Mr. Tucci	57
Rohit Bhalla	9, (275)
(Direct Examination)	38
Cross-Examination by Mr. Tucci	68
Scott Martin	9, (260, 271)
(Direct Examination)	42
Cross-Examination by Mr. Tucci	130
Redirect Examination by Monahan	158
Jonathan Bailey	9, (269)
(Direct Examination)	48
Cross-Examination by Mr. Tucci	82
Redirect Examination by Monahan	124
Recross-Examination by Tucci	128